

DRUG UTILIZATION REVIEW BOARD
Agency for Health Care Administration
Tampa Marriott Westshore
Saturday, January 16, 2016
8 a.m - 10:55 a.m.

REPORTED BY: JACQUELINE L. REICHERT
Integra Reporting Group
Court Reporter
Notary Public
Commission No. EE 160968
Expires 3/27/16

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

PRESENT:

BOARD MEMBERS:

Anna Hayden (Chair)
Jeffrey Martorana (Vice-Chair)
Allen Moses
Diane Fagan
Vanessa Goodnow (Absent)
Larry Field (Absent)
Kevin Olson
Alfred Romay
Luis Saez
Amy Zitiello

AHCA STAFF:

Beverly H. Smith, Esquire, Medicaid Counsel
Vern Hamilton, AHCA Liaison
Arlene Elliott, RPh, Operations Administrator
Susan Williams, PharmD

MAGELLAN MEDICAID ADMINISTRATION

Rebecca Borgert, PharmD

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

I N D E X

	Page
Opening Remarks	6
Approval of DUR Minutes from September 26, 2015	6
Review of P&T Minutes from November 9, 2015	7
Quarterly DUR Activity Reports	11
Open Discussion	103
Public Comment	121
Next Meeting Date	121
Reporter Certification	125

1 P R O C E E D I N G S

2 THE CHAIRPERSON: Good morning. I call
3 this meeting to order. I'd like to welcome
4 everyone for taking the opportunity to come
5 here this morning and provide services for
6 the State of Florida.

7 Vern, do you want us to do role call,
8 just go around the room and -- we'll just go
9 around the room and start off with Dr. Moses
10 there, Allen. And then, you know, just a
11 word or two about your practice and what you
12 do full time.

13 DR. ALLEN: Sure. Good morning everyone.
14 Moses Allen. Currently I'm Director of
15 Specialty Distribution with Lemire Health,
16 previously with Prestige Health Choice as
17 Director of Pharmacy.

18 DR. FAGAN: Good morning. I am Diane
19 Fagan. I'm Director of Pharmacy with
20 WellCare.

21 DR. OLSON: Kevin Olson, Manager in the
22 Pharmacy at All Children's.

23 DR. SAENZ: Good morning. I'm Luis
24 Saenz, I'm Director from PHC.

25 DR. ROMAY: Good morning. Alfred Romay,

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 Director of Pharmacy over at Molina
2 Healthcare Florida.

3 DR. ZITTELLO: I am Amy Zittello, Medical
4 Director for Amerigroup.

5 DR. MARTORANA: Dr. Jeff Mortorana, Chief
6 Medical Officer Sunshine Health.

7 THE CHAIRPERSON: My name is Anna Hayden.
8 I'm your Chair of this Board -- of the Drug
9 Utilization Review Board. I'm a family
10 practice physician. I work in Downtown Fort
11 Lauderdale for Broward Health.

12 MS. SMITH: I'm Beverly Smith. I'm the
13 Medicaid Counsel for the DUR Board.

14 MS. ELLIOTT: Arlene Elliott, Pharmacy
15 Policy Administrator at AHCA.

16 DR. WILLIAMS: Susan Williams. I'm a
17 Senior Pharmacist with AHCA.

18 MR. HAMILTON: And I'm Vern Hamilton, the
19 Agency liaison for these meetings.

20 DR. BORGERT: And I'm Becky Borgert. I'm
21 a Pharmacist with Magellan Healthcare.

22 THE CHAIRPERSON: And we have two members
23 that are excused due to travel issues.
24 Dr. Vanessa Goodnow could not be here due to
25 a grounding of her plane in Fort Lauderdale

1 due to bad weather. And Dr. Field could not
2 be here this morning, he's also excused due
3 to issues as well.

4 Next on our agenda we have opening
5 remarks from Arlene Elliott, she our AHCA
6 Administrator.

7 MS. ELLIOTT: Good morning. Welcome
8 everybody. Thank you for being here early on
9 this beautiful morning. I failed to mention
10 yesterday, so you guys can spread the word,
11 the Agency has not made a decision yet
12 whether the plans need to follow or not the
13 PDL. So at this point currently all the
14 plans should be following the Agency's PDL,
15 the decision has not been made to change that
16 or continue it. Thank you.

17 THE CHAIRPERSON: Thank you very much.

18 Next on our agenda is the review of the
19 Drug Utilization Review minute from our
20 September 26th, 2015 meeting. I make a
21 motion to approve. Do we have a second?

22 DR. ZITTELLO: Second.

23 THE CHAIRPERSON: Any discussion?

24 MR. HAMILTON: Well, Dr. Hayden, I
25 corrected the spelling of your name I believe

1 on page 3.

2 THE CHAIRPERSON: That's the editorial.

3 MR. HAMILTON: Thank you for pointing
4 that out. And if there are any other
5 corrections, let me know.

6 THE CHAIRPERSON: Very good. Thank you.
7 Any other discussion? So for the final
8 count, all those in favor of approving the
9 Drug Utilization Review minutes with the
10 minor editorial comment, signify by saying
11 "aye"?

12 THE BOARD: Aye.

13 THE CHAIRPERSON: We have unanimous
14 approval of the minutes. Thank you.

15 Next on our agenda we have information
16 from the review of the P&T minutes from the
17 November 9th, that is informational. And
18 Rebecca will be giving us a short report of
19 yesterday's P&T as a conduit of --

20 DR. BORGERT: Sure. So the P&T Committee
21 did meet yesterday. I'm going to refer in
22 the DUR presentation so this is here now.
23 Now, we know that P&T normally meets the day
24 before DUR and they did yesterday. But if
25 you'll recall back in the fall, they had to

1 postpone the meeting, so P&T actually met in
2 November. So there's one thing that came out
3 of that November meeting that they requested
4 that the DUR Board look into, and that was
5 dosing of Celebrex. So we're going to talk
6 about that today. I have that in the
7 quarterly topics because it was requested at
8 the November P&T Meeting. So it's just a
9 little strange because of the timing of the
10 last meeting.

11 She the P&T Committee did meet yesterday
12 and they reviewed several classes, just
13 things that might have an impact on the DUR
14 Board that I'll mention.

15 They did review Androgenic Agents, and as
16 you know we're going to talk about
17 testosterone today. And they basically
18 endorsed the DUR Board putting a ClinicalPA
19 on the class and the DUR Board determining
20 the criteria. So the P&T Committee did
21 endorse the DUR doing that and we'll finalize
22 that today.

23 They added Entresto which is a new --
24 sacubitril/valsartan, a new -- it's in the
25 ACE inhibitor class, although not technically

1 an ACE -- you know, it's one of the entities
2 that's an ACE inhibitor. Let's see, what
3 else. For inhaled antibiotics, both Tobi and
4 Kitabis Pak are now -- will now be preferred.

5 All of the -- again, we're going to
6 talking about the novel Oral Anticoagulants
7 today. And they -- the P&T Board voted to
8 keep all four of the currently available
9 commercial products on the PDL.

10 One thing that was referred to DUR was
11 Xopenex because they reviewed the Beta
12 Agonist Bronchodilators. And one member of
13 the P&T Committee felt like the utilization
14 was really higher than you would expect it to
15 be, and asked us -- and referred that to the
16 DUR Board. So that just happened yesterday,
17 so we'll look at that in April when we meet
18 again.

19 Let's see. You know, we talked in the
20 past we looked at our P&T class, we had
21 talked about Daliresp, and they basically
22 were in favor of having the DUR put some
23 criteria around that to try to tighten
24 utilization.

25 I don't think there's anything else that

1 had -- we're going to talk today about the
2 PCSK-9 inhibitors because you guys asked
3 about that of the last meeting. Those were
4 actually single product reviews yesterday,
5 and they're both non-preferred at this time.
6 We're talking about alirocumab which is
7 Praluent, and evolocumab which is Repatha.

8 And I think that was pretty much the
9 highlights of things that might have to do
10 with the DUR Board from P&T yesterday.

11 THE CHAIRPERSON: Thank you very much.

12 And then other item that was on our
13 agenda originally was Dr. Winterstein's
14 presentation, but that's been postponed until
15 more data is available on the Synagis vaccine
16 and impact on our Floridians with access to
17 that medication. So the data is not
18 available so she's been postponed until
19 either April or September meeting just as a
20 follow up --

21 DR. BORGERT: We'll probably wait at
22 least until the end of this RSV season so we
23 have at least one full year of RSV data, so
24 that won't be until the end of April, right.

25 THE CHAIRPERSON: And then that data may

1 not be available probably until our September
2 meeting. And the main impact was reducing
3 from 7 to 5 vaccines to see if there was any
4 impact at all, those were questions we had.
5 So she's been postponed. Very good.

6 Next, Ms. Rebecca again on quarterly DUR
7 activity reports.

8 DR. BORGERT: All right. So as must of
9 you are familiar now that you've been to at
10 least one meeting. We typically start this
11 meeting with -- sorry. We normally start
12 this meeting with follow-up or updates. So
13 during this section we talk about anything
14 that had previously come to the Board where
15 maybe there were additional questions by the
16 Board about the data that was presented, so
17 we try and follow-up with those questions.

18 The other thing that's presented in this
19 section of the presentation is any post
20 intervention analysis. So any time we do an
21 intervention or an edit, we try to measure
22 the impact of that. And so typically we're
23 looking at a three-month period of time and
24 so we always bring that back to the DUR Board
25 in terms of looking at the impact of any

1 interventions that the Board has recommended.
2 So that's what's going to be in this section.

3 And this first topic is one of those
4 things. It's a follow-up on an intervention.
5 So we wanted to look at limit the duration of
6 therapy of skeletal muscle relaxants. We
7 felt like patients were getting on skeletal
8 muscle relaxants and sort of being on them
9 indefinitely, when most of really the
10 indications are for short term
11 musculoskeletal type of conditions.

12 So what we did is we put a limit on it
13 where patients were allowed to have six
14 consecutive claims for -- six claims for a
15 30-day supply, and after that time then it
16 would stop requiring a prior authorization.

17 Now, we did exempt any patients with
18 chronic -- some diagnoses and it was
19 diagnosed. So basically they were chronic
20 spasticity-type conditions for baclofen and
21 tizanidine, because those patients, it would
22 be appropriate for them to be on long term
23 skeletal muscle relaxant therapy.

24 So the edit was deployed in March. So
25 since the way this edit worked was it allowed

1 six months worth of therapy, we wouldn't
2 start having impacted claims until September.
3 Does that make sense? The edit went in in
4 March, but we wouldn't have had had impacted
5 claims until September because they were
6 allowed six months before the edit would go
7 into place.

8 So when we looked at the post edit, we
9 looked from September 25th, which would have
10 been six months post implementation, through
11 December 15th which was the day we did the
12 analysis, so that was all the data that we
13 had.

14 And as you can see it was a pretty
15 substantial decrease. We had 41 percent
16 decrease in claims, 30 percent decrease in
17 recipients, and an overall 43 percent
18 decrease in the number of dosage units that
19 were dispensed, and a 37 percent decrease in
20 the amount paid.

21 Now, I will have to add a caveat here.
22 And that's I don't know the answer to this
23 either, but, you know, back in the day when
24 everybody was Fee-for-service and it was much
25 a more stable population, I think maybe it

1 was more apples to apples. I think now maybe
2 when we look at these numbers, I don't know,
3 nobody knows really, on how much of an apples
4 to apples comparison it is, because Fee-for-
5 services are a more fluctuating population
6 than it used to be in the past. So all we
7 can do is take a snapshot, you know, before
8 and after. And from what it looks like, it
9 looks like certainly there was some effect of
10 that edit.

11 So any questions?

12 THE CHAIRPERSON: Was there any other
13 prior authorizations requested on any of
14 the --

15 DR. BORGERT: I don't know.

16 THE CHAIRPERSON: Maybe we should look at
17 that.

18 DR. BORGERT: I'll look.

19 THE CHAIRPERSON: I know that's a good
20 point you're making about the fluctuations of
21 the recipients.

22 DR. BORGERT: Right.

23 THE CHAIRPERSON: And the other one is,
24 you know, the impact you can look up prior
25 auths if it was a medical necessity or if

1 there was a --

2 DR. BORGERT: Right, right.

3 DR. MARTORANA: And is there any ballpark
4 figures as far as the number of members in
5 the Fee-for-service world that this would
6 indicate?

7 DR. BORGERT: Arlene, do you know the
8 number?

9 MS. ELLIOTT: Yeah. It's approximately
10 400,000.

11 DR. MARTORANA: Okay.

12 DR. BORGERT: And I mean the whole number
13 is not so much this fluctuating as the
14 individual recipient is fluctuating is what I
15 mean when I say that.

16 THE CHAIRPERSON: Oh, I got it.

17 DR. BORGERT: Yeah. Because you might
18 come in Fee-for-service and then go out to an
19 MCO. So, you know, not so much the aggregate
20 number as individuals.

21 This is a follow-up item from a topic
22 that we discussed in the past. This is just
23 a short recap. Prior to this edit we had an
24 overall limit of 100 mls of insulin per
25 30-day limit, and last year the DUR Board

1 decreased that to a limit of seven vials of
2 insulin and two boxes of insulin pens. Some
3 people felt at that time that that was still
4 a very high limit. However, when we looked
5 at the data, it looked like the majority of
6 patients that was kind of where the cutoff
7 fell for the majority of patients so that's
8 why those numbers were picked.

9 So not surprisingly, I don't think, when
10 he look -- this is the slide that looked at
11 last time -- the new dosing limits didn't
12 really have much of an impact on the
13 quantities that were being dispensed. Pens
14 went down a little bit because we didn't have
15 any real limit on pens before, so that did
16 decrease about 13 percent.

17 Vials basically stayed the same, which
18 again, is pretty much what we expected
19 because we knew kind of that's where the
20 cutoff was for what was being utilized.

21 So the Board had asked -- you know, we
22 had some discussion last time as you recall
23 about the fact that that seems like an awful
24 lot of insulin for people to be using. And
25 was it just an anomaly of the fact that that

1 amount was being dispensed and called it a
2 30-day supply and it really wasn't a 30-day
3 supply. And so the Board wanted to look into
4 that a little bit closer.

5 So what we did in -- and this was -- you
6 know, with the DUR Board slides, kind of
7 that's a high blood. Somebody that was
8 getting more than 100 -- greater than or
9 equal to 100 units per day of insulin or five
10 or more vials per claim.

11 So of that same data set that we looked
12 at originally from April to June, we looked
13 -- and this was just strictly based on what
14 was submitted on the claim in terms of
15 quantity dispensed and day supply.

16 So if you just take that at face value,
17 if you just take what's submitted on the
18 claim as quantity dispensed and days
19 supplied, then almost half the patients were
20 exceeding 100 units of insulin per day, which
21 seems like a lot. I think that's what the
22 point the Board was trying to make.

23 I will note because one of the other
24 questions was about the type of diabetes
25 these patients might have. And of those high

1 utilizers almost 70 percent did have a
2 diagnosis of Type 1 diabetes on file -- so at
3 that was good -- whereas, only 28 percent had
4 Type 2 diabetes. And 4 percent we could find
5 neither of the diagnosis on file. Maybe some
6 of those were gestational, I don't know, but
7 4 percent we couldn't find a diagnosis, so...

8 So in order to try to gain a better
9 understanding of these high utilizers, we
10 took those same recipients but we expanded
11 the time range out to nine months so that we
12 could look at subsequent fills.

13 So we had that the same utilizers, those
14 ones that were identified in the past slide,
15 and we looked at a nine-month period of time.
16 And we tried to calculate a true day's supply
17 based on time, actual time to the next time
18 they filled the insulin prescription.

19 Now, several of the utilizers only had
20 one claim in that nine-month period of time,
21 actually 38 percent. I know. So I think
22 that might speak to, again, the coming in and
23 out of Fee-for-service. Like, you know,
24 maybe they were Fee-for-service for a very
25 short time, got one fill, and then moved on

1 to an MCO or something like that. I have a
2 feeling that's probably the explanation for
3 that. I don't know why there was --

4 So we, in terms of this analysis, we
5 discarded those 38 because there would be no
6 way to look at when their next fill was. In
7 Fee-for-service we didn't have that
8 information.

9 So of the remaining 1475 high blood
10 recipients, when we looked at actual days
11 elapsed between fills, the true average day
12 supply was 36 days. So it was about a week
13 longer than a true 30-day supply. I was kind
14 of surprised it wasn't even more than, but
15 that's what the number was.

16 It was when we looked at -- you know,
17 there's always going to be a last claim, so
18 we only could carry the data out so far. But
19 in that nine-month period of time looking at
20 the fills that they received in the
21 quantities that they were dispensed, the
22 average day supply was 36.3.

23 However, when we did that same type of
24 thing, the number of patients who were
25 receiving more than 100 units a day dropped

1 down all the way from 2,367 to only 270
2 recipients. So that seems a lot more likely,
3 that there were 270 recipients who were truly
4 getting 100 units of insulin or more a day.

5 So that's the information back to the
6 Board. You know, I think Magellan and the
7 State are, you know, still trying to get our
8 mind around what's the best way to handle
9 insulin utilization because it's really hard
10 to know what an average dose is. And then
11 we've also got the complicating factor of,
12 you know, vials obviously can't be broken,
13 they're dispensed as one size. Pens are
14 usually -- the boxes are usually not broken,
15 they're usually dispensed as an entire box.
16 So somewhat of the -- you know, just the
17 nature of the product, I think, makes it
18 harder as well to, you know, really tighten
19 down that quantity dispensed in day's supply.

20 So does anybody have any question
21 questions or comments about that? That was
22 just the follow-up information from the
23 questions we discussed last time.

24 Okay. This is a post impact analysis.
25 If you'll recall we looked at putting in a PA

1 on long-acting stimulants in children that
2 were under six years of age. And we did this
3 because none of the long-acting stimulants
4 are currently FDA approved in this age group.
5 And prior to the edit we had no age limits on
6 this group of medications. And this list of
7 medications that were involved in the edit
8 are on this screen.

9 And, again, it seems like this impact did
10 have a very large impact. So we looked at
11 three months pre-edit and three months
12 post-edit. The edit was implemented on July
13 1st of 2015. There was an 80 percent
14 decrease in claims, and 78 percent decrease
15 in the recipients, and then 80 percent
16 overall in total spend on long-acting
17 stimulants in that population. So that was
18 an effective edit. I thought that was -- and
19 maybe you were the first one to bring up that
20 idea, so that was a good thing. And the PA
21 for that is, of course, on the AHCA website.
22 Questions? All right.

23 As I mentioned yesterday -- as mentioned
24 earlier, excuse me, the P&T Committee
25 yesterday did enforce putting a ClinicalPA on

1 this class and going with the DUR Board
2 recommendations. The only update -- this was
3 a slide that we went through that last time
4 in terms of background. The only update to
5 this slide would be the hours we met in
6 September, the American Association of
7 Clinical Endocrinologists issued a position
8 statement that was actually somewhat contrary
9 to the FDA warning about cardiovascular
10 safety.

11 And what the American Association of
12 Clinical Endocrinologists said was there is
13 no compelling evidence that testosterone
14 therapy either increases or decreases
15 cardiovascular risk. And they encourage
16 large scale clinical trials to assess that.
17 So there's been a little bit of push back in
18 terms of the FDA warnings on cardiovascular
19 use.

20 Again, we saw this last time and we had
21 some concerns about the fact that we had some
22 patients who had some diagnosis of prostate
23 cancer, very few had orders for PSAs, and
24 that, you know, there probably needed to be
25 tighter utilization around this class.

1 So this is just a summary of what was
2 discussed last time. The ClinicalPA will
3 require a baseline serum testosterone level,
4 require a diagnosis verification, and we will
5 require baseline PSAs. So that's what we
6 will be doing and implementing in the next
7 quarter for the top testosterone products as
8 endorsed by the P&T Committee.

9 THE CHAIRPERSON: There's another online
10 packet here for the ICD-10 codes. I'm not
11 sure if F64 encompasses all the transgender
12 codes as well -- you're seeing that as
13 well -- is it something else to consider
14 adding as a different ICD-10 code.

15 MS. ELLIOTT: If I may comment on that?

16 THE CHAIRPERSON: Yes.

17 MS. ELLIOTT: Yes. The Agency is going
18 to discuss that internally and then we'll
19 bring it to the Committee or to the members
20 to the meeting -- next meeting. Because we
21 don't have -- Medicaid doesn't pay for gender
22 identity disorders, so this is a discussion
23 that will be discussed internally and then
24 we'll figure it out and bring it to the Board
25 next time.

1 THE CHAIRPERSON: Thank you.

2 DR. BORGERT: Okay. Just a follow-up
3 about Synagis. You probably hope this is the
4 last time we'll talk about this for a while.
5 Dr. Olson, I believe had -- he had expressed
6 some concern that he felt like patients were
7 not receiving their full schedule of Synagis
8 doses. And so he asked for how many doses
9 were these patients were receiving. So
10 that's what this graph is trying to indicate.

11 So there were 445 recipients but we could
12 only identify one Synagis claim. Out to 278
13 recipients that we could identify that got
14 seven Synagis claims. The only caveat here
15 is, like I said at the last meeting, we don't
16 really feel like -- and this includes both
17 Fee-for-service and encounter data, and we
18 didn't feel like we probably were able to
19 capture all of the medical claims.

20 So all of the hospital -- you know, the
21 hospital administered doses, just really hard
22 for us the way that billing is to capture
23 that. I mean, if it's a claim at point of
24 sale, super easy, we have that, that's all
25 solid data.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 But, you know, with a drug like this,
2 it's administered sometimes in the inpatient
3 setting, we're not 100 percent certain that
4 we, you know, documented all the doses that
5 were received on the inpatient side.

6 But I think the point is that you're
7 right that, you know, it does look like that
8 probably the majority of the patients fall
9 between two and five doses, which is -- you
10 know, five doses is now the current
11 recommendation.

12 But certainly there is a significant
13 population who looks like they've received
14 only one or two doses and then don't go on to
15 receive the following doses. Unless these
16 were doses that they received late. You
17 know, that one dose that we had is the dose
18 that they received late after they've been
19 hospitalized for many months or something and
20 gotten it in-house. Kind of hard to know,
21 but that's what the data looked like.

22 Another follow-up in -- and we saw this
23 last time -- is talking about the use of
24 morphine equivalent daily doses as a quality
25 indicator tool. This is something that is

1 really a lot in the quality literature now
2 about use this measure as a way of managing
3 opioid strategies.

4 So we saw this last time. We looked at
5 how many of our recipients were exceeding 100
6 milligrams of morphine daily dose. And the
7 question that was asked about that data was
8 how many prescribers were associated with
9 those opioid claims.

10 And so the pie chart here represents,
11 thankfully, the vast majority 1,007 of the
12 recipients only had one prescriber that was
13 responsible for all of their opioid claims
14 that put them into that greater than 100
15 milligrams of morphine equivalent daily doses
16 per day. So that was good.

17 You know, the 30 patients who had four --
18 no, wait. Yeah. There were 30 patients at
19 four prescribers. And I don't think there
20 were any that had five. That six -- seven
21 patients had six prescribers and one patient
22 had nine prescribers. So, you know, this
23 again would be an additional way that we
24 would look at this.

25 And, you know, certainly the recipients

1 who had only one prescriber would much less
2 of a concern than patients who had three or
3 more -- or four or more basically
4 prescribers. And that might be a way that we
5 identify recipients where we want to look
6 into that utilization. And, you know, maybe
7 even contact prescribers and say, you know,
8 "Are you aware that, you know, these claims
9 are -- there additional claims for your
10 patient from different prescribers for these
11 drugs?"

12 THE CHAIRPERSON: As a follow-up to that,
13 when the Board of Pharmacy updated their
14 Pharmacy Rules, 64B16, regarding dispensing
15 of controlled substances, that was in just
16 last month. So those poly prescribers or the
17 pharmacist, before they issue that
18 prescription, has to check that red flag at
19 the point of sale because the pharmacist now
20 has the ability to go to the PTMP. They have
21 to take two extra hours of continuing medical
22 education of controlled substance
23 prescribing. They have to consult with the
24 patient and collaborate with the prescribers
25 writing the order. Those are recent updates.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 So I think that number -- prescriber
2 counts when you get into that high multiple
3 prescribers for those patients, especially
4 four and three, that's still 109 and 30 --

5 DR. BORGERT: Right.

6 THE CHAIRPERSON: -- almost 150 patients,
7 they'll have to answer to that.

8 DR. BORGERT: Right.

9 THE CHAIRPERSON: So I think we'll see
10 less of those numbers in terms of safety for
11 our Floridians.

12 DR. BORGERT: Right. I mean, that's the
13 ultimate solution is to have it stopped right
14 there, and have the prescriber contacted at
15 that point to be informed that, you know,
16 there are other prescribers for those
17 patients.

18 DR. ALLEN: I have a question as well.
19 Is there any correlation to the Lock-In
20 Program with this state? I guess just a high
21 level thought, the patient who has nine
22 prescribers or even six prescribers, I'm
23 assuming those prescribers are probably
24 prescribing different opioids. So if yes,
25 are they being controlled in the Lock-In

1 Program?

2 DR. BORGERT: Arlene, I don't know if you
3 want to address this or not, but currently
4 the Lock-In Program did Sunset --

5 DR. ALLEN: Oh, Fee-for-service. Okay.

6 DR. BORGERT: -- I don't know -- a year
7 or so ago. So there is currently absolutely
8 no active Lock-In Program. But I think the
9 Agency is looking into --

10 MS. ELLIOTT: Well, it's Sunset for
11 Fee-for-service patients. The plans -- the
12 criteria are our guidance. So, yes, they
13 would follow them. And we're still reviewing
14 and updating the criteria for the Lock-In
15 Program for the plans. We have put in so
16 many edits for Fee-for-service, that nobody
17 felt -- it wasn't appropriate, or because all
18 the edits that we put in quantity limits, age
19 limits, a maximum of four or three. So
20 that -- when we looked at the patients, there
21 were no patients that we would be able to log
22 in. So that was a good thing.

23 THE CHAIRPERSON: What about looking
24 at -- we're looking at morphine equivalent
25 doses, but looking at doses for our

1 intermediate- or shorter-acting controlled
2 substances in terms of maximum daily dosages
3 on the package insert and how many patients
4 are exceeding that? If they're outside of
5 that recommended package insert, could we put
6 an edit at that also?

7 DR. BORGERT: I don't know with opioids
8 if they actually have a maximum recommend
9 dose on --

10 THE CHAIRPERSON: It's two tablets every
11 four to six hours.

12 DR. BORGERT: Oh, because of the
13 acetaminophen limit. Is that the product
14 you're talking about or are you talking about
15 just --

16 THE CHAIRPERSON: Just put the package
17 insert -- I see the date on some of them.
18 I'm looking at the shorter- or intermediate-
19 acting as a possible mechanism of providing
20 for patient care of those patients that are
21 -- or prescribers that are exceeding the
22 maximum daily dosage as in the package
23 insert.

24 DR. BORGERT: I will look into that.

25 THE CHAIRPERSON: So that would be like

1 hydrocodone with the Tylenol.

2 DR. BORGERT: Right. Certainly the
3 products that have acetaminophen.

4 THE CHAIRPERSON: Like oxycodones with
5 Tylenol.

6 DR. BORGERT: Right, right. And that's
7 the next piece of this down here in the
8 bottom, which I don't if you can read or not.
9 But that question came up last time as well
10 about the amount of acetaminophen these
11 patients would be receiving.

12 So of those recipients that we
13 identified, 1500 of them that were receiving
14 more than 100 milligrams of morphine
15 equivalent daily dose, 42 of them did exceed
16 the 4 grams of acetaminophen a day.

17 If you'll recall this time frame that we
18 looked at was April 1st to June 30th. And an
19 edit did go in on Fee-for-service on November
20 16th that would stop any -- that does an
21 acetaminophen accumulation. So hopefully
22 those 42 patients would get caught with that
23 edit that was deployed in November.

24 THE CHAIRPERSON: Didn't we -- I think we
25 talked about this before, Rebecca, as far as

1 the dose of the acetaminophen has been
2 modified to 3 grams, I believe?

3 DR. BORGERT: You know, we talked about
4 that and then we went back and looked at it
5 and I think across the board it's still 4
6 grams. I mean, certainly at-risk patients,
7 you know, liver disease or some things like
8 that, it has been reduced to 3. But the last
9 time --

10 THE CHAIRPERSON: I was thinking more of
11 the older adults.

12 DR. BORGERT: Maybe there's an age with
13 the 3 grams?

14 THE CHAIRPERSON: Yes, there is.

15 DR. BORGERT: Maybe the 3 grams is
16 age-related, that could be. Because when we
17 looked at it, it was still, you know, 4
18 grams. But we can go back and look at that.

19 THE CHAIRPERSON: And is it possible to
20 do an edit on that as well? Can we look
21 at that?

22 DR. BORGERT: We can certainly look that,
23 uh-huh. We'll look at age as it relates to
24 acetaminophen dose.

25 THE CHAIRPERSON: I think it's -- I'm not

1 sure if it's 65 and how many Medicaid
2 recipients are duly enrolled and are
3 receiving that.

4 DR. BORGERT: Right.

5 THE CHAIRPERSON: I'm not sure if it's
6 65.

7 DR. BORGERT: Okay. Well, we can look it
8 up, that's fine. No, big deal.

9 THE CHAIRPERSON: I know we sent out
10 banner messages on that as well in the past.

11 DR. BORGERT: Okay. That was probably
12 before my time.

13 Out last follow-up item for today, as I
14 mentioned, the P&T Committee did look at this
15 class of drugs, and we had looked at this
16 last time. We were looking at adherence with
17 therapy. And this is just -- we saw this
18 last time. This is just quick reminder of
19 the indications and the recommended duration
20 of therapy.

21 When we looked at, you know, what types
22 of diagnosis these patients were -- we
23 carried it out for a six-month period of time
24 and tried to look at diagnosis.
25 Unfortunately, most of them we couldn't

1 identify a diagnose -- they didn't have any
2 of those diagnoses on file. So I don't know
3 if that really tells us anything.

4 But, you know, one thing we did kind of
5 focus in on was the afibulizers. Now, it's a
6 relatively small percentage of the utilizers
7 because, you know, that age demographic tends
8 to be, you know, less representative in
9 Medicaid because of Medicare.

10 However, when we looked at a six-month
11 period of time a lot of patients really were
12 not receiving therapy on a continuous basis.
13 And there's been some stuff in the literature
14 about, you know, real world adherence data to
15 these drugs.

16 And, you know, unlike warfarin, which --
17 I mean, these drugs haven't had any
18 advantages over warfarin, but the flip side
19 is, you know, they're not coming in for PT
20 monitoring. So there's no way that sort of
21 it's being monitored. And some people
22 speculate because there's that less
23 interaction between healthcare provider and
24 patient with the monitoring of the warfarin,
25 that adherence is actually lower with these

1 medications than with warfarin because of the
2 follow-up involved.

3 So, you know, one of the things that we
4 thought we might do is just pull out those
5 recipients who had gaps in their care. So,
6 you know, maybe they get a fill and then they
7 don't fill it again for three months and then
8 they fill it.

9 It's a small number of patients, so we
10 could probably just letter those providers
11 that were involved with those patients in
12 terms of saying, "Hey, we've identified that,
13 you know, over a period of time your patient,
14 you know, only had, you know, adequate
15 anticoagulation based on their claim's data
16 for, you know, a third of that period of
17 time," or something like that. So just kind
18 of a heads-up to those prescribers about
19 adherence with these medications, because
20 obviously patients need to be anticoagulated.
21 And adherence seems to be an issue that is
22 coming to light with these -- with this class
23 of medications.

24 THE CHAIRPERSON: You know, I think
25 perhaps if the diagnosis was paroxysmal

1 atrial fib and they didn't have a test for
2 the novel anticoagulant with warfarin, some
3 of them are just offered Aspirin. So I'm not
4 sure.

5 DR. BORGERT: Right. But these are
6 patients who have had a fill for one of these
7 drugs. So the concept is they filled it and
8 then they didn't fill it, didn't fill it,
9 didn't fill it. And then they fill it again.
10 You know, so it's just very sporadic in terms
11 of -- that's what I mean about the gap in
12 care in terms of, you know, it looks like
13 they were supposed to be taking it all the
14 time, but based on the amount of times
15 they're filling it, it doesn't look like they
16 are really adequately taking the medication.

17 THE CHAIRPERSON: And these are
18 continuous with the recipients?

19 DR. BORGERT: Uh-huh.

20 THE CHAIRPERSON: Okay.

21 DR. BORGERT: So what we'll do is we'll
22 try to pull out those individual recipients
23 and bring that back next time just with a
24 letter so that you guys can see it.

25 And then just to follow-up -- to finish

1 up this section. This is a standing agenda
2 item regarding Hepatitis C and our
3 utilization. So as you can see there we have
4 about equal number of recipients for Viekira
5 Pak, which is preferred, and Harvoni. And
6 that might be a reflection of the fact that
7 Viekira only became preferred at the March
8 2015 meeting. And certainly the Viekira Pak
9 numbers have gone up since that time.

10 The P&T Committee did make Daklinza and
11 Technivie preferred yesterday as well as
12 Viekira Pak. And this is the prior
13 authorization information. And you can kind
14 of just see there the number approved, the
15 number denied, and the total numbers of
16 requests that we received for the Hepatitis C
17 therapies.

18 DR. ALLEN: A quick question for you.

19 DR. BORGERT: Yes.

20 DR. ALLEN: Is there any indication since
21 the implementation of -- well, I guess that's
22 making Viekira Pak preferred is the -- are
23 the other agents -- are their uses trending
24 downward or is the uses still similar?

25 DR. BORGERT: I think just relatively

1 speaking, yes. Because certainly the amount
2 of Viekira Pak that we're dispense -- that
3 we're approving has gone way up compared to
4 what it was before March. We still see a lot
5 of requests for Harvoni and we still get --
6 you know, we still really -- because Viekira
7 Pak is a preferred agent we really try to --
8 and Elboni and I -- Elboni obviously is not
9 here today. But she and I were talking about
10 his, and, you know, I think we're going to go
11 ahead and pull all of those PAs on Harvoni
12 that have occurred since March and just look
13 at them. And just, you know, kind of see
14 what is going on with why are we still
15 approving Harvoni in patients -- you know,
16 there are some valid reasons sometimes.

17 DR. ALLEN: Sure.

18 DR. BORGERT: But we want to just kind of
19 really dig a little bit deeper into that,
20 just because of the sensitivity of this class
21 and that sort of thing, and make sure that
22 it's being approved appropriately.

23 DR. MARTORANA: I had a question. Are
24 you seeing an uptake in requests for those
25 individuals that don't have stage 3 or higher

1 disease?

2 DR. BORGERT: Yes. The state of Florida
3 we maintained it at stage -- no, we have some
4 that are F2. Which ones are F2 now? Are
5 there any of them that are F2? I don't know.
6 Susan will look for us.

7 But I will tell you that some Magellan
8 states went down to F2 across the board. But
9 Florida by and large stayed at F3.

10 DR. ALLEN: Yeah, F3 and F4.

11 THE CHAIRPERSON: Wasn't there a letter
12 from CMS that we received?

13 DR. BORGERT: Yes, we did. And I had a
14 link to that in your quarterly report. CMS
15 did send out a letter encouraging Medicaid
16 programs to broaden their approval criteria
17 while at the same time sort of try to lean on
18 manufactures to do their part to work with
19 Medicaid to make this a more affordable
20 therapy.

21 MS. ELLIOTT: Right. And if I could
22 comment on that. The letter from CMS was
23 guidance, and an e-mail was sent to all the
24 states. And we received -- you know, it's a
25 group -- the DEHP Group -- I don't know if

1 you're familiar with it. Pharmacy directors
2 or pharmacy representatives for each state.
3 And most of the states are just taking it as
4 that. They're saying, "It's a guidance,
5 we're not going to change anything at this
6 time."

7 THE CHAIRPERSON: Thank you.

8 DR. SAENZ: I have a question on Daklinza
9 for the -- you know. How cost effective is
10 it? I know that it's approved -- it has a
11 better chance for stage 3, but you still need
12 to use sofosbuvir.

13 DR. BORGERT: Right.

14 DR. SAENZ: And it only works if they
15 don't have cirrhosis. So if you have
16 cirrhosis, then you have to add Ribavirin.

17 DR. BORGERT: Right.

18 DR. SAENZ: So cost effective, instead of
19 using Harvoni, how -- you know, I understand
20 that for a grade 3 they have no cirrhosis --

21 DR. BORGERT: Is Harvoni approved for
22 Genotype 3? I think it's 1, 4, 6 -- 1, 4, 5,
23 6.

24 DR. SAENZ: They still use it. Well,
25 they use it for 24 weeks, you know, for like

1 Genotype 3 it has less percentage of cure.
2 But once it gets to cirrhosis, like they
3 don't do as well with Daklinza. You know,
4 it's like -- so in terms of cost Daklinza and
5 Harvoni with stage 3 with cirrhosis, I don't
6 know, the price.

7 DR. BORGERT: The Agency has made a
8 decision more to go -- to base their approval
9 guidelines more on FDA approved indications
10 as opposed to the ASLD guidelines. So I'm --
11 can somebody look for me -- because I don't
12 want to pull off the slides -- is Harvoni
13 approved in Genotype 3?

14 DR. SAENZ: Yes. It's 24 weeks, if I
15 recall.

16 DR. BORGERT: All right. I will --

17 DR. SAENZ: I'm just looking in the
18 cirrhosis stage.

19 DR. BORGERT: Right. I know. So you're
20 taking about Genotype 3 patients --

21 DR. SAENZ: Yeah. Because those with
22 cirrhosis don't seem to do as well.

23 DR. BORGERT: Right.

24 DR. SAENZ: So it's about the same
25 percentage as Harvoni. And I don't know how

1 much they cost because you have to have
2 Daklinza, sofosbuvir, plus Ribavirin.

3 DR. BORGERT: Right, right, right.

4 DR. SAENZ: So the three. And I think
5 you still need to do it for 24 weeks.

6 DR. BORGERT: Right. So I guess the
7 question would be --

8 DR. SAENZ: Like for cirrhosis maybe most
9 cost effective maybe to use Harvoni --

10 DR. BORGERT: Right.

11 DR. SAENZ: If they have cirrhosis
12 because there may be the same chance.

13 DR. BORGERT: Right. I mean, obviously
14 we only had one approval and three denials
15 for Daklinza. Obviously this was approved
16 later in year and this is year to date data.
17 But, yeah, we can look at that in terms of,
18 you know, cirrhotic versus non-cirrhotic, and
19 what's the optimal regimen.

20 DR. SAENZ: And the other question I have
21 is like now we're going to get some of --
22 very unlikely, but there's like very likely
23 that people are going to get reinfected. You
24 know, so if I look at the FDA guidelines, I
25 think they never said this is used for

1 infection. So how do we handle -- I guess,
2 in a case by case basis. How do we handle an
3 appeal like that? How do we handle a patient
4 that -- very few, but it's a little money.

5 DR. BORGERT: Currently I believe the
6 State still has the once in a lifetime --

7 DR. ALLEN: Yeah. Criteria is once in a
8 lifetime.

9 DR. BORGERT: -- on the therapy. I don't
10 know that that will always remain there, but
11 at this time that's still the situation. I
12 have not heard of any request that we've had
13 for retreatment but -- we've had some
14 requests for retreatment for patients who
15 haven't achieved an SVR, but not patients who
16 achieved an SVR and then were reinfected. I
17 haven't heard of that situation coming up
18 yet. But you're right, it will eventually
19 come up.

20 DR. SAENZ: It will come. But the data
21 is showing that it's less likely for there's
22 that -- they say it's as high as 1 to 10
23 percent that they may -- very unlikely, but
24 it may happen.

25 DR. ALLEN: One additional question. I

1 also notice that there were some Peg-Intron
2 and Ribavirin claims.

3 DR. BORGERT: Right.

4 DR. ALLEN: And I guess my question is, I
5 guess now with the new generation Hep C
6 agents, I really couldn't imagine a provider
7 utilizing those agents. Are those old claims
8 prior to the PA going into place or --

9 DR. BORGERT: Yeah. The date range was
10 year to date for 2015.

11 DR. ALLEN: So those will just adjudicate
12 it down.

13 DR. BORGERT: Yeah. I mean, the
14 Ribavirin we'll probably continue to see
15 because we use that still in combination --

16 DR. ALLEN: Sure.

17 DR. BORGERT: -- with several other
18 recommended regimens. Ribavirin is still
19 recommended. But the Peg-Intron I think will
20 fall away to almost nothing.

21 DR. ALLEN: Okay.

22 DR. BORGERT: All right. Well, we are on
23 to our new business section. And sometimes
24 we take a break here, but it's only 8:45, so
25 do you want plow on? Do you want to go on?

1 THE CHAIRPERSON: You want to take a
2 break -- a 10-minute break and then we'll
3 reconvene?

4 DR. BORGERT: It's up to you guys.

5 THE CHAIRPERSON: Yeah, we'll take a
6 break. 10 minutes and then we'll start again
7 at 9:00 -- or 8:50 -- 8:55.

8 (WHEREUPON, a brief recess was taken.)

9 THE CHAIRPERSON: Good morning, everyone.
10 I'd like to reconvene the Drug Utilization
11 Review Broad. It's 8:56. And Ms. Rebecca
12 again.

13 DR. BORGERT: Okay. Just a couple things
14 that we followed up on in the break that
15 wanted to clarify for the minutes. We do
16 actually -- Dr. Allen, pointed out that on
17 the summary of limitations we do have
18 quantity limits on products like hydrocodone
19 A pap, we have a tablet per day limit on
20 those things already. So those are on the
21 summary of limitations. So we had talked
22 about that.

23 THE CHAIRPERSON: So how many are the
24 tablet limits?

25 DR. BORGERT: Does Dr. Allen or Susan do

1 you have them pulled up?

2 DR. ALLEN: Sure. I have them up.

3 THE CHAIRPERSON: I didn't think we had
4 that. I thought we had the maximum number of
5 scripts per month, but I don't remember
6 tablet --

7 DR. BORGERT: It might be a fairly new
8 edit in that I think the MCOs encourage that
9 when they, you know, sort of think it's going
10 to --

11 DR. ROMAY: Yeah. I think we suggested
12 that we have a cumulative edit that takes all
13 -- if a person is on several formations with
14 the Tylenol, it will look cumulative and then
15 after that it will reject it.

16 DR. BORGERT: Right. And that's the edit
17 that went in in November though that I was
18 referring to. But I think also in addition
19 to that there are individual product tablet
20 limits and that's what Susan is going to look
21 it up right now and is going to tell us.

22 DR. WILLIAMS: For instance, Vicodin we
23 have eight tablets per day for the 5/300.
24 For the 7.5 we have six tablets. For Vicodin
25 HP we have six a day. So we have them on

1 various products on our summary of
2 limitations and you can find them on our
3 website.

4 THE CHAIRPERSON: So for the Vicodin 5 we
5 have the 5/300 you have eight per day? Is
6 that what you said?

7 DR. WILLIAMS: It was eight per day, yes.

8 THE CHAIRPERSON: And the one for the
9 5/325 also I believe?

10 DR. WILLIAMS: For which one?

11 THE CHAIRPERSON: The hydrocodone again.

12 DR. WILLIAMS: The hydrocodone 7.5?

13 THE CHAIRPERSON: 7.5.

14 DR. WILLIAMS: Six per day. And the 10
15 milligram is six per day also. Was there
16 another one you were interested in that I can
17 see if we have it?

18 THE CHAIRPERSON: No. I didn't realize we
19 had those edits in place already. Thank you.

20 DR. ALLEN: Right. And it's also the
21 same for Percocet as well. It's also the
22 same for Percocet as well, they have limits
23 on them as well, yeah.

24 THE CHAIRPERSON: Aspirin-related
25 products?

1 DR. ALLEN: Yes.

2 THE CHAIRPERSON: Any other limits we
3 have? Do we have any on --

4 DR. BORGERT: On like single opioid
5 agents? Is that what you mean?

6 THE CHAIRPERSON: Uh-huh. For the
7 short-acting or intermediate-acting.

8 DR. BORGERT: Oxycodone would be the most
9 likely one.

10 DR. WILLIAMS: Which one?

11 DR. BORGERT: Just single agent
12 oxycodone.

13 THE CHAIRPERSON: And tramadol.

14 DR. WILLIAMS: Yeah, we have it on
15 tramadol.

16 DR. BORGERT: We definitely have it on
17 tramadol.

18 THE CHAIRPERSON: How many per day on the
19 tramadol to 400 milligrams per day?

20 DR. WILLIAMS: On the oxy IR we have 12
21 tablets per day.

22 THE CHAIRPERSON: Is that per package
23 insert or is that for all doses or for --

24 DR. WILLIAMS: That is for 5 milligram
25 it's 12 tablet per day. For the 7.5

1 milligrams it's eight tablets per day. For
2 the 10, 15, and 30 milligrams it's six
3 tablets per day. And for the 20 milligrams
4 it's nine tablets per day.

5 THE CHAIRPERSON: Thank you.

6 DR. WILLIAMS: And then the tramadol.

7 DR. ALLEN: One per day.

8 DR. WILLIAMS: One per day. I'm sorry.

9 THE CHAIRPERSON: How many again?

10 DR. WILLIAMS: One per day for the
11 tramadol. That's the extended release.

12 THE CHAIRPERSON: Oh, extended. But the
13 short-acting?

14 DR. WILLIAMS: Eight per day.

15 THE CHAIRPERSON: And the 50 milligram
16 dosage?

17 DR. WILLIAMS: That is eight per day.

18 THE CHAIRPERSON: And these edits went
19 into place --

20 DR. BORGERT: I can't tell you exactly
21 when the individual product limits went into
22 the place. They've sort have been put in
23 over time. Some of them I think have been in
24 for quite a long time. The one that was the
25 most recent is the one that Dr. Romay was

1 talking about with the cumulative across
2 different product lines. If you were
3 getting, you know, prn hydrocodone; prn
4 oxycodone, you know, Percocet, Vicodin,
5 cumulative.

6 THE CHAIRPERSON: So if the recipient had
7 to exceed this dose there would be a prior
8 authorization?

9 DR. WILLIAMS: Right.

10 DR. ALLEN: Correct.

11 THE CHAIRPERSON: And have we looked at
12 data on this? Because we looked at morphine
13 total. Have we looked at --

14 DR. WILLIAMS: The short-acting?

15 THE CHAIRPERSON: Yeah.

16 DR. WILLIAMS: No, I don't think we've
17 ever done that.

18 THE CHAIRPERSON: We haven't had an issue
19 with that.

20 DR. BORGERT: Not that I'm aware, yeah.
21 I think we kind of -- you know, I think
22 that's what the quantity limits do for us is
23 kind of try to keep that under control.

24 THE CHAIRPERSON: Okay. Very good.
25 Thank you so much.

1 DR. WILLIAMS: No problem.

2 DR. BORGERT: And just for the minutes
3 also just to note that Harvoni is not
4 approved for Genotype 3 for -- FDA. It is
5 recommended in the AASLD guidelines but it's
6 not FDA approved for Genotype 3 for Harvoni.

7 THE CHAIRPERSON: Thank you, Rebecca, so
8 much.

9 DR. BORGERT: All right. Moving on to
10 new business. The first thing we usually do
11 in new business is we look at upcoming P&T
12 classes. And just see if there's anything
13 that the DUR wants to have input with regard
14 to that.

15 A class that's coming up in June for
16 review is Mucolytics. There's really only
17 two drugs in that class, acetylcysteine both
18 as the 10 percent and 20 percent, and then
19 Pulmozyme. And as you can see there, there's
20 a huge cost factor associated with Pulmozyme.
21 And, of course, it is only approved for --
22 the FDA indication is for Cystic Fibrosis, it
23 is non-approved for other types of general
24 Mucolytic therapy.

25 And so one thing that we might

1 potentially recommend to the P&T Committee
2 was to create a very easy AutoPA that would
3 look back for a diagnosis of Cystic Fibrosis
4 to ensure that it was being limited to
5 patients with Cystic Fibrosis.

6 THE CHAIRPERSON: Do you want us to vote
7 on that?

8 DR. BORGERT: Yes.

9 THE CHAIRPERSON: So the motion would be
10 to have --

11 DR. OLSON: Motion.

12 THE CHAIRPERSON: The motion is for easy
13 PA for Cystic Fibrosis look back for a time
14 period of --

15 DR. BORGERT: Well, I mean, typically
16 with our diagnosis look back, I typically --
17 think we actually typically look back two
18 years.

19 THE CHAIRPERSON: 24 months? Do we have
20 a second.

21 DR. ROMAY: Yeah, second.

22 THE CHAIRPERSON: Any discussion. All
23 those in favor signify by saying "aye"?

24 THE BOARD: Aye.

25 THE CHAIRPERSON: That's a unanimous

1 approval.

2 DR. BORGERT: That we can -- what's the
3 word I want to say? Told to the P&T
4 Committee. I forgot what I wanted to say.

5 Another class that will be reviewed in
6 June are the Oral Glucocorticoids. And,
7 again, here I think the thing that kind of
8 jumps out at you a little bit is the
9 Budesonide EC in 3 milligram capsules. So
10 look a little bit more closely, we broke down
11 the utilization.

12 And just as a background, the indication
13 for that product is mild to moderate Crohn's
14 Disease. And the way it's dosed it is a 3
15 milligram capsule. And the way it's dosed is
16 patients take 9 milligrams once daily for up
17 to eight weeks. And then they can repeat
18 that eight-week course if they have recurring
19 episodes for active disease. And so that's
20 the active disease dosing regimen.

21 And then maintenance of clinical
22 remission. So, you know, with steroids or
23 however they've achieved remission, for
24 maintenance of clinical remission the dosing
25 is 6 milligrams once daily for up to three

1 months.

2 And the important part here is that
3 continued treatment with Budesonide EC
4 tablets for more than three months has not
5 been shown to provide a substantial clinical
6 benefit. There was actually a trial that
7 looked at this in patients who were on -- who
8 continued on therapy did not receive any
9 additional clinical benefit. So that's where
10 that recommendation comes from.

11 So we pulled the data and there were 46
12 recipients of this product from September
13 through November of this past year. And
14 so -- obviously that's only three months, so
15 we expanded it to a six-month time frame to
16 look at those 46 recipients claims. And
17 there were on only six of those patients who
18 did receive more than three consecutive
19 months of therapy.

20 So it doesn't seem to be a huge problem.
21 But we thought, you know, maybe one thing we
22 would do is -- since it's just six patients,
23 is maybe letter those prescribers regarding,
24 you know, these guidelines and the study that
25 supports that data and that sort of thing.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 So that's what we looked at with
2 Budesonide EC. Because, you know, initially
3 we talked about maybe doing a length of
4 therapy edit of three months. But when we
5 looked at, you know, what was actually going
6 on, at least in Fee-for-service, it was a
7 very small number of recipients who were
8 being prescribed outside of what's
9 recommended. So probably not worth, you
10 know, creating a whole edit just for the
11 small number of patients.

12 THE CHAIRPERSON: So you want to vote on
13 the letter or --

14 DR. BORGERT: Sure. I mean, actually we
15 can write the letter and bring that back for
16 the next time so you don't have to vote on
17 it, because you'll be voting on the letter at
18 the next meeting.

19 THE CHAIRPERSON: Is there an age cutoff
20 for this? I'm not quite familiar with it
21 because I don't use it for -- so a letter is
22 fine.

23 DR. BORGERT: Okay. Like I said, when we
24 initially started going down this path, we
25 were thinking we would do an edit, but we

1 just saw that it was so few. Now, if the
2 MCOs are seeing something different in their
3 data set, then, you know, we might reconsider
4 it, but that is what we saw in Fee-for-
5 service.

6 DR. MARTORANA: And I guess going back to
7 my original question is, what are the numbers
8 that we're dealing with as the
9 Fee-for-service numbers continue to come
10 down, this is not really indicative of what
11 we're seeing out there --

12 DR. BORGERT: Right.

13 DR. MARTORANA: -- in the market place
14 because there's, you know, another million
15 and a half --

16 DR. BORGERT: Right.

17 DR. MARTORANA: -- recipients out of the
18 managed care arena. So we maybe have to look
19 at is there something that we're going to
20 have to do to bridge, or when we're bringing
21 these classes to maybe have a template to
22 have the MCOs bring some of their
23 utilization --

24 DR. BORGERT: That would really -- yeah,
25 that would be --

1 DR. MARTORANA: -- of those products so
2 that we get a better picture --

3 DR. BORGERT: Right, right. I mean, we
4 have --

5 DR. MARTORANA: -- of what's happening
6 across the state.

7 DR. BORGERT: -- encounter data, but --

8 DR. MARTORANA: It's tough to sort that
9 out.

10 DR. BORGERT: Right. Exactly. Yeah. I
11 mean, that's another thing we can do -- not
12 to give you all homework, but, you know, if
13 you guys wan to go back to your plans and
14 those of you that are affiliated with MCOs
15 and look at your utilization and then you can
16 bring that feedback back to the next meeting.
17 And then we can certainly say if you guys are
18 seeing that it is a problem, we could
19 reconsider an edit.

20 MS. ELLIOTT: Just to piggyback on that,
21 for the next P&T Meeting we have been
22 requested to run data from the plans to --
23 for the next recommendations from now on is
24 to include utilization from the plan. So we
25 have our data analytics group already warned

1 that we're going to give them the Agency
2 numbers and that we're going to include that
3 utilization from the plans for the next
4 future meetings.

5 DR. MARTORANA: Yeah. I think if we just
6 -- both for P&T and DUR if we just have --
7 you know, if the request, then obviously with
8 three months is probably ample time for the
9 plans.

10 I mean, I don't think I'm speaking out of
11 turn, but anyone can throw something at me if
12 they think otherwise, that we very happily
13 would -- you know. So we just need to go
14 ahead and get that published. Say, "Okay,
15 this is what we're looking for." And then
16 everyone can kind of bring it in that same
17 format so that we can compare apples to
18 apples.

19 DR. BORGERT: Right. Exactly. I mean, I
20 think this is all new territory for all of
21 us. So we're trying to figure out what works
22 best.

23 For the quarterly activities for this
24 quarter. The first one was looking at
25 metoclopramide dosing. And specifically

1 focusing on the risk of Tardive Dyskinesia.
2 Metoclopramide does have a black box warning
3 that it can cause Tardive Dyskinesia. The
4 risk of developing Tardive Dyskinesia
5 increase with the duration of therapy and the
6 total cumulative dose.

7 And specifically in the black box warning
8 they say that treatment with metoclopramide
9 for longer than 12 weeks should be avoided
10 in all but rare cases where therapeutic
11 benefit is thought to outweigh the risk of
12 developing Tardive Dyskinesia.

13 So since this is associated with both
14 high dose and duration therapy, we decided to
15 look at both dose and duration of therapy.
16 And it appears that we're not having a big
17 problem with patients receiving high doses.
18 Only 16 adult recipients and two pediatric
19 recipients who exceeded the recommended
20 dose -- or we did have 255 recipients who got
21 more than 12 weeks of therapy.

22 So, you know, we went from July -- so
23 July, August, September, October. So we
24 looked at four months worth of -- and there
25 were -- 255 in that four months who got more

1 than 12 weeks of therapy. So it was a pretty
2 substantial number.

3 So I think maybe the recommendation here
4 would be to implement, you know, a 12-week or
5 84-day continuous supply maximum duration of
6 therapy and then require a prior auth once
7 they exceed that just for safety purposes
8 based on the black box warning.

9 DR. ZITTELLO: Did you look at diagnosis
10 at all?

11 DR. BORGERT: We did not. We did not.
12 Are you thinking about like --

13 THE CHAIRPERSON: Gastroparesis.

14 DR. ZITTELLO: Exactly.

15 THE CHAIRPERSON: Because sometimes we
16 may have to. So I would exclude --
17 consider --

18 DR. BORGERT: You want to go back and
19 look at it and exclude the gastroparesis?

20 THE CHAIRPERSON: Yeah. I think so.

21 DR. BORGERT: Okay.

22 THE CHAIRPERSON: You know, I think --

23 DR. BORGERT: Because those patients are
24 on continuous never ending therapy.

25 THE CHAIRPERSON: At the lowest possible

1 dose --

2 DR. BORGERT: Right.

3 THE CHAIRPERSON: -- while monitoring. I
4 think we sent out a banner message a while
5 back.

6 DR. BORGERT: Again, it must have been
7 before my time.

8 THE CHAIRPERSON: Yes, we did. Because
9 it was a concern then.

10 DR. BORGERT: Okay. I will go back and I
11 will exclude those patients and then I will
12 bring that information back.

13 THE CHAIRPERSON: And then we'll look at
14 creating an edit then?

15 DR. BORGERT: Yeah. Right.

16 THE CHAIRPERSON: Because I think it is a
17 good idea, definitely. Do you want to vote
18 on that also or --

19 DR. BORGERT: I mean, since we're going
20 to have to follow-up next time, I don't think
21 we really need to take a vote right now
22 because we're going to bring it back up next
23 time.

24 THE CHAIRPERSON: Very good.

25 DR. BORGERT: The next thing that the

1 Board expressed an interest in -- and I think
2 this was good that the Board brought this to
3 our attention -- was about the brand
4 preferred. And specifically we decided to
5 look at Pulmicort Respules.

6 And so just for background so that we're
7 all understanding about the generic drug life
8 cycle. In certain cases -- and it depends
9 what the FDA awards. But they can award a
10 generic manufacture a 180-day exclusivity for
11 their generic product. It's basically there
12 to incentivize generic companies to challenge
13 weak patents or whatever and to reward them
14 for doing so.

15 So sometimes when a generic comes on the
16 market there's only one. And when it comes
17 on the market, it's typically -- that first
18 generic is typically priced at about 90
19 percent of brand. Basically pretty -- I
20 think legally it can't be more than 90
21 percent, so it is 90 percent. All right. So
22 it's at 90 percent of the brand name.

23 And so if they have -- especially if they
24 have that 180 days exclusivity, it's at least
25 six months before more players come into the

1 market. And in those situations it generally
2 takes about a year for the price of the
3 generic to drop. So that's the market forces
4 that are in play there.

5 And with Medicaid, based on the federal
6 rebate and supplemental rebate, oftentimes
7 the brand product is still net-net cheaper to
8 the State than the generic product. Based on
9 the rebate contracts. Federal and
10 supplemental rebates that the State is
11 collecting back, because, again, those
12 generics are priced almost as much as the
13 brand name and the rebates aren't in place.

14 So that's what creates this dynamic of
15 why the State brand prefer things at a
16 certain time. So the Committee was concerned
17 that patients -- you know, (a) that lots of
18 calls were coming back into the
19 practitioners, so it was, you know, a
20 workload issue in terms of the pharmacist
21 having to call the prescribers. And
22 ultimately the concern was that patients
23 weren't just getting their meds. Because it
24 would reach out to the pharmacy and they
25 would just leave.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 So for this particular product multiple
2 generics only become available about mid-2015
3 and there are currently three generic
4 manufacturers of Pulmicort or generic
5 budesonide inhalation on the market. And
6 currently the net-net cost still is lower for
7 the branded Pulmicort Respules than for the
8 generic.

9 The class review of this class is due in
10 the March P&T meeting, so it's possible that
11 it will switch at that time. It might switch
12 from brand preferred to generic at that time.
13 But I can't see in the future, so I don't
14 know if that will happen or not.

15 Arlene, do you have a comment?

16 MS. ELLIOTT: Just to clarify. The
17 meeting is in April. It's April 1st.

18 DR. BORGERT: I'm sorry, I keep saying
19 that. She tells me, "Don't say March. Don't
20 say March."

21 MS. ELLIOTT: P&T is April 1st and DUR is
22 April 2nd. But just to clarify one point is
23 we -- or Magellan -- Celemonzel (phonetic)
24 rebate factor, which used to be provider
25 Synergis, you're familiar with that name

1 better. They give us an update every month
2 when it's time to switch.

3 So, for example, if Pulmicort was to be
4 the generic cheaper in June, we would be able
5 to switch it before, you know, the next P&T
6 or whatever. So every month they give us a
7 list of it's time to switch or not.

8 THE CHAIRPERSON: It happened also for
9 nimodipine and valsartan a while back. And
10 the claims were being denied at the pharmacy
11 because it went brand one month and it went
12 generic. Same situation again. So I think
13 -- I'm not sure if it's the providers that
14 need the letter. I think it's the
15 pharmacists, because they'll enter the data
16 there and it stops it at the point of sale.

17 DR. BORGERT: Right. And so we looked at
18 it and you guys were right. We looked at
19 there were -- we looked at denied nebulizer
20 suspension for inhalation claims. We had
21 2,000 claims in a three-month period for 1700
22 recipients, and only 841 of those
23 subsequently had a paid claim for Pulmicort
24 Respules within a seven-day period. So we
25 think some more of them might have gotten it

1 farther on down the road. But we wanted to
2 look at, you know, a time in a period of
3 time. So we looked at seven days.

4 And that played, you know, half or less
5 received the Pulmicort suspended. So I think
6 that's true that what is happening is that
7 claims are being denied at the pharmacy and
8 then the patients just aren't receiving the
9 drug.

10 So based on this data -- and I don't want
11 to get into the nuts and bolts of coding
12 because that's not the role of this
13 Committee. But we have some limitations
14 because of other legislatively required
15 messaging that has to go back to the
16 pharmacies. We had some limitations because
17 I mean the thing -- the easy solution would
18 be to say to the pharmacy dispense -- you
19 know, reprocess or dispense brand name
20 Pulmicort. Because if the pharmacy saw that
21 message, then that would be fine.

22 We've had some challenges with that just
23 based on other requirements that we have.
24 But we did sit down and put our heads
25 together and we think we've come up with a

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 solution. I won't get into the nitty-gritty
2 of the solution by using a State class code.
3 But we're going to try to implement a
4 solution for this that we think will work,
5 that we think will get the message to
6 pharmacies at that exact time so that they
7 will know what they need to do.

8 And so what we'll do is we'll work on
9 implementing that solution and then we'll go
10 back -- you know, if Pulmicort Respules have
11 become preferred by that time, then we'll
12 pick a different, you know, brand preferred,
13 and we'll go back and we'll measure -- try to
14 measure if this new solution that we're still
15 in the works, still being vetted, will solve
16 this problem basically.

17 THE CHAIRPERSON: Logistically is it
18 possible -- I'm not quite sure about the
19 logistics behind this -- but for the
20 electronic prescribing system for people that
21 are using electronic health records, will
22 that alert the prescriber at that point also?
23 Can that also be done, or is that beyond
24 the --

25 DR. BORGERT: Yeah. The solution --

1 THE CHAIRPERSON: -- capability? I know
2 you have preferred drugs. Sometimes in my
3 prescribing it says "preferred." I'm not
4 sure if it's up to date, but it will give me
5 my preferred on the patient's profile based
6 on their plan.

7 DR. OLSON: How is this handled in Prev
8 -- the same issue was Prevacid a few years
9 ago, how did we handle that? I mean, that
10 didn't seem to be an issue at that time of
11 the denied claims. Brand Prevacid was --

12 MS. ELLIOTT: Was it preferred?

13 DR. OLSON: -- rebated, so -- yeah,
14 preferred.

15 MS. ELLIOTT: What we did -- and I don't
16 know if this will answer your question
17 because I don't know if this is what really
18 resolved it. But we did have both, brand and
19 generic, paid for like maybe two, three
20 months, either one. But an alert was sent
21 like four months in advance to allow
22 pharmacists to get used to buying the generic
23 so -- and then they could use their brand
24 that was in stock.

25 So that was -- because we had

1 30-something thousand patients on prevacid.
2 I don't know if this is as many patients.
3 But that was a big one. And that we never
4 had -- because we overlapped the coding, you
5 know, the reimbursement, that was not an
6 issue after they switched. So it was a well
7 thought process because it was so big.

8 DR. BORGERT: We also do big letter
9 campaigns for every single drug that we do
10 this for. I'm not sure -- you know, we might
11 be on information overload if we tried to.
12 But, like I said, we do -- we have a solution
13 that we think is going to work and we're in
14 the process of sort of working through that
15 process.

16 And then we will go back and if at that
17 branded Pulmicort Respules are still
18 preferred, we will do a post impact analysis
19 once we get that solution implemented and
20 we'll see if we've improved this number here.

21 DR. ZITTELLO: I appreciate you coming
22 back to the Committee with that information.

23 DR. BORGERT: Sure.

24 DR. ZITTELLO: And hopefully it help a
25 lot of kids in the state of Florida.

1 DR. BORGERT: Right.

2 DR. SAENZ: I have a question and I
3 talked to Kevin about it. You know there is
4 going to be a new class of drugs called
5 Biosimilars.

6 DR. BORGERT: Right.

7 DR. SAENZ: So how is the Agency going to
8 handle -- I guess based on the price, you
9 know, because it's going to be an in between
10 price, between the brand and the generics.
11 Generics are now becoming expensive, they're
12 not as cheap anymore.

13 DR. BORGERT: Yeah. We could spend hours
14 talking about drug pricing issues. But there
15 actually is already one Biosimilar on the
16 market, Zarxio, the generic filgrastim,
17 Biosimilar filgrastim. And that actually was
18 looked at by P&T yesterday because the Colony
19 Stimulating Factors were one of the classes
20 that were looked at yesterday.

21 DR. SAENZ: And what happened?

22 DR. BORGERT: And based on pricing,
23 Neupogen is still the more favorable for the
24 State. So I think we're going to handle it
25 just like any other generics on this market

1 because Zarxio was talked about at P&T
2 yesterday.

3 MS. ELLIOTT: But to clarify on that,
4 Zarxio as of now the ACA, you know, the
5 Affordable Care Act, we are not -- the
6 pharmacists are not allowed to substitute.

7 So if you get a prescription for
8 Neupogen, you have to dispense Neupogen. If
9 you get one for Zarxio, you have to -- so
10 they are all -- even the Zarxio is still more
11 expensive, they're all PA. So they'll have
12 ClinicalPA.

13 So if we get a prescription for Zarxio,
14 we would have to -- the reviewers would have
15 to look at the criteria and approve it or
16 not, depending, you know, if they meet
17 criteria. But they could not tell them, "No,
18 dispense Neupogen." At this point they
19 cannot do that.

20 DR. BORGERT: Yeah. And just to expand a
21 little bit more one that, not to get too far
22 off on a tangent. It kind of depends on the
23 way the FDA approves it. If they approve
24 that they are interchangeable -- because they
25 have the option to approve Biosimilars as

1 interchangeably, Zarxio is not approved as
2 interchangeable. I think not because it's
3 not interchangeable, but just because they
4 weren't ready to go there yet, and they're
5 still, you know, working through all their
6 process.

7 But, you know, how the FDA designates it,
8 if they designate it as interchangeable or
9 not. And all of the individual states are
10 looking at this legislatively as
11 substitution. You know, what could be
12 substituted and what can't.

13 So, yeah, we're still kind of in the
14 process of figuring all that out. But that's
15 what happened with Zarxio yesterday.

16 MS. ELLIOTT: And one more thing, if I
17 may add for Dr. Hayden's comment about the
18 electronic prescribing. That's a different
19 vendor, that's not the Agency, but they have
20 our PDL, so -- you know. And the other PDLs
21 also from the other plans.

22 THE CHAIRPERSON: It gets updated elec -

23 MS. ELLIOTT: Yes. When we update the
24 PDL, the electronic vendor will update it.

25 THE CHAIRPERSON: I see it come up.

1 MS. ELLIOTT: Yes.

2 THE CHAIRPERSON: Sometimes I see
3 patients every 90 days and then the
4 prescription is already written. So then the
5 concern is bridging that change in the PDL to
6 the patient's timing of the prescription.

7 DR. SAENZ: It's basically your vendor
8 for the EMR also. So like if it's cloud-
9 based, it would do it. But if it's like an
10 EMR that's not cloud-based, sometimes there
11 might be a delay of a week, a month. It
12 depends when they update that. It's not
13 automatically, sometimes there's a delay.
14 And it has nothing to do with them, it's just
15 the vendor that your company is using for the
16 EMR.

17 THE CHAIRPERSON: That would be
18 interesting. Thank you. Thank you.

19 DR. BORGERT: So we'll continue to keep
20 you guys abreast of what's going on with
21 this.

22 As I mentioned at the top of the meeting,
23 the P&T Committee that met in November
24 specifically asked the DUR Board to look at
25 maximum daily dose limits of Celebrex based

1 on the available efficacy and safety data.

2 And their concern was that the current
3 quantity limit that we had on Celebrex was
4 two capsules per day. And Celebrex is
5 available at 50, 100, 200, and 400. So since
6 there was a two capsule per day limit, that
7 would in essence allow people to get 800
8 milligrams of Celebrex a day. So that was
9 the concern.

10 So we went back and looked at it. And
11 those are the currently approved FDA
12 indications for celecoxib; osteoarthritis,
13 rheumatoid arthritis, JRA, and spondylitis,
14 acute pain, and primary dysmenorrhea.

15 I think what has happened here is that
16 the current approved FDA dosing ranges from
17 100 milligrams per day up to 200 milligrams a
18 day. So the current approved indications
19 their recommended dose is anywhere from 100
20 milligrams a day to 400 milligrams a day.

21 And the history of that in December of
22 1999 it was actually approved as adjuvant
23 treatment of familial adenomatous polyposis,
24 FAP, at a dose of 200 milligrams BID or 400
25 milligrams BID.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 And then, 12 years later, the FDA
2 actually came back and rescinded the approval
3 of celecoxib for FAP based on two long term
4 safety studies which revealed an increased
5 incidents of cardiovascular events in
6 patients on long-term celecoxib as compared
7 to placebo.

8 So at that time the FDA determined that
9 the risks outweighed the benefits for
10 patients with FAP.

11 And there is no currently FDA approved
12 indication for celecoxib at 800 milligrams a
13 day. So we would recommend implementing the
14 400 milligram per day maximum daily dose.

15 And P&T just didn't have time to dig into
16 all these details. You know, that's why they
17 refer this type of thing to the DUR Board so
18 we can look at it more closely and say,
19 "Well, you know, why is this?" So that's
20 why.

21 It seems a little bit straightforward
22 but, you know, that's why P&T referred it to
23 us because they were like, "Well, we think
24 that, you know, the risks are higher with
25 higher doses and we're allowing patients to

1 get 800 milligrams a day, so let's refer that
2 to DUR and see what they want to do with it."

3 THE CHAIRPERSON: So there are three
4 exceptions there. So the impact would be --

5 DR. BORGERT: I'm sorry?

6 THE CHAIRPERSON: On the data that's
7 presented in our -- I have three.

8 DR. BORGERT: Okay. I didn't even
9 realize I had put that in the quarterly
10 report. So there weren't -- the bottom line
11 is there weren't many patients who were
12 receiving that, so thankfully, but there were
13 a few. So probably the thing to do at this
14 point, since there are no FDA approved
15 indications for 800 milligrams a day, is to
16 put a limit -- change that two capsule per
17 day limit to a 400 milligram per day limit.

18 THE CHAIRPERSON: So do you want to put
19 an edit in place?

20 DR. BORGERT: Yeah. Change the edit from
21 two capsules per day of any dose of celecoxib
22 to a maximum of 400 milligrams of celecoxib.

23 THE CHAIRPERSON: So we'll make a motion
24 for that?

25 DR. BORGERT: Correct.

1 DR. MARTORANA: So moved.

2 THE CHAIRPERSON: Second.

3 DR. ZITTELLO: Second.

4 DR. SAENZ: Second.

5 THE CHAIRPERSON: Any other -- the other
6 interesting thing is for these drugs are, you
7 know, the guidelines and the FDA indications
8 and dosing changes, we probably should look
9 at all that in our --

10 DR. BORGERT: Yeah. There's a lot of
11 that stuff that comes through. We get
12 like --

13 THE CHAIRPERSON: There's a lot.

14 DR. BORGERT: At Magellan we do a weekly
15 summary of basically all those type of FDA
16 updates and that sort of thing. But I can --
17 so I get that report every week so I can look
18 at that more closely and see if there are
19 things that probably should come to DUR. I
20 haven't really looked at that report with the
21 thought of DUR in mind, so I can try to do
22 that.

23 THE CHAIRPERSON: And also other drugs on
24 our preferred drug list if there are
25 high outside of those limits. I'm not sure

1 if we can look at that and bring it back. I
2 mean, just for safety, I mean that is the
3 purpose of this Committee.

4 DR. BORGERT: Right.

5 THE CHAIRPERSON: And when drugs are
6 added to our PDL if there are limits on
7 dosage, I'm not sure if there's an edit. I
8 mean, do you look at that in terms of --

9 DR. BORGERT: Yeah. It's probably sort
10 of on a case by case basis. You know, like
11 when new drugs are added. When we're going
12 about programing to be preferred, you know.

13 THE CHAIRPERSON: When we looked at HIV
14 drugs I mean in terms of contraindications
15 with certain things, I mean, we had those
16 edits in place. But as new agents come out
17 and drug to drug interactions.

18 DR. BORGERT: Right, right.

19 THE CHAIRPERSON: Okay. So that's
20 unanimous.

21 DR. BORGERT: The next few items that
22 we're going to look at are really just
23 more -- as opposed to analysis, are just more
24 data that the Committee requested at the last
25 meeting to be brought.

1 So one of the things that the Committee
2 asked -- or the DUR Board asked about was
3 Daraprim utilization. And I think we're all
4 familiar with the story, but just as a recap,
5 on August 11th of this past year the
6 manufacturer of -- the only manufacturer of
7 Daraprim increased the price of 25 milligram
8 tablet from \$13.55 a tablet to \$750 a tablet.
9 That's based on wholesale acquisition. So
10 that happened in August.

11 On October 8th, so you know, pretty much
12 as fast as we can move as a governmental
13 agency, we did implement a ClinicalPA program
14 for Daraprim, instead of -- because before
15 you could get it, anybody could get it.

16 And can see there the dramatic increase
17 in spend that happened with Daraprim. We
18 went from spending \$21,548 for 15 recipients
19 to less than half of the same number of
20 recipients cost us \$335,574.

21 And, you know, there was some pressure on
22 the manufacturer at the beginning, and they
23 had stated that they were going to
24 re-evaluate the price. They have since
25 stated they are not going to change the

1 price. So \$750 a tablet is here to stay.

2 There are some -- I will tell you that
3 some PBMs, some large PBMs in the country are
4 looking at compounding pharmacies who are
5 compounding this in combination with
6 Leucovorin. And some of the PBMs in the
7 country are going that route in getting the
8 compounding product from a pharmacy I think
9 in California who is offering it as opposed
10 to, you know, going with this scenario.

11 DR. ALLEN: Question.

12 DR. BORGERT: Yes.

13 DR. ALLEN: So this story, to your point,
14 was sensationalized. I mean, I think
15 everyone knew about it. But is there any way
16 that the Committee can be notified in the
17 event that there's subtle price increases?
18 So every manufacturer is probably going to
19 increase their drug, you know, to some
20 extent, you know, at the end of the year.

21 But for things that are anomalies, for
22 example, doxycycline on the generic side,
23 that was one. You know, I don't know. If we
24 just pick a number and just say, "Hey, if a
25 drug increased by 50 percent," or something

1 of that nature, can we just be notified so we
2 could, you know, keep an eye with it?

3 DR. BORGERT: The thing about that and --
4 yes. The answer is yes. But we actually at
5 Magellan -- since this kind of has starting
6 to be -- we have a process where we actually
7 get a weekly report of generic price
8 increases that have increased more than --
9 there's a cutoff. I think it's 10 percent or
10 something.

11 But what typically happens is there's
12 multiple NDCs for generics, and only some of
13 the manufacturers have increased their price
14 dramatically. But there are still other NDCs
15 on the market that haven't increased so
16 dramatically. And so we look at what percent
17 of our utilization was the product that --
18 product increase and spend a lot or the
19 others to know whether or not we need to take
20 some action.

21 So I think the thing that made this
22 situation so unique was there was only one
23 manufacturer. But I agree that we can --
24 because we are already doing it. We're
25 already monitor -- Magellan's already

1 monitoring that in terms of across the board
2 if there is very few manufacturers or if all
3 the manufacturers of a product or both, let's
4 say. Most manufacturers have had a similar
5 increase, then that would be something that
6 we could bring. Would that be okay?

7 DR. ALLEN: Yeah. I think that would be
8 great.

9 DR. BORGERT: Because I think there a lot
10 that do have these dramatic price increases,
11 but in most cases what we're finding is that
12 there are other manufacturers, other NDCs
13 that are available that haven't increased
14 their price. So as an aggregate the price of
15 that drug hasn't bumped up so much.

16 Dr. Olson, were you going to say
17 something? Okay. So I will -- I took down
18 that 50 percent and I will make a note about
19 that and we'll bring that back if we find
20 that. Okay.

21 The Committee also asked us to look at
22 top therapeutic classes by claims count and
23 by total spend. And so, again, this is
24 Fee-for-service. I think it's probably --
25 the numbers are probably different, but I

1 think overall similar in terms of placement
2 with claims count; anticonvulsants,
3 antipsychotics, narcotic analgesics, SSRIs,
4 beta-androgenics, NSAIDs, Penicillins, anti-
5 anxiety, and second generation
6 antihistamines.

7 So the Committee hasn't done a lot with
8 anticonvulsants, but certainly we've done a
9 lot with antipsychotics, and certainly we've
10 done a lot with narcotic analgesics. But in
11 terms of number -- just sheer number of
12 claims, this is what the top ten basically
13 looks like.

14 THE CHAIRPERSON: Do we have any edits in
15 place for maximum daily dosage of
16 anticonvulsants? Have we looked at that
17 data --

18 DR. BORGERT: Susan -- I don't know.

19 THE CHAIRPERSON: -- for package inserts,
20 can we do that?

21 DR. BORGERT: Not off the top of my head
22 if we have a limitation on anticonvulsants.
23 I don't know. Let's pick one.

24 DR. WILLIAMS: Yes, we do.

25 THE CHAIRPERSON: Can you talk into the

1 mic?

2 DR. ALLEN: Yeah. We have one Lamictal.
3 I don't know if it's across the board. But
4 there's a few on fee.

5 DR. BORGERT: We can look at that. I
6 mean, we can look at PDL preferred
7 anticonvulsants and we can look at all of
8 them and see --

9 THE CHAIRPERSON: Maximum daily dosage.

10 DR. BORGERT: -- how many of them have
11 maximum daily dosages on them. We can do
12 that, yeah.

13 DR. ZITTELLO: I'd also be curious to the
14 diagnoses here.

15 THE CHAIRPERSON: Yeah. Because we use
16 it for neuropathic pain.

17 DR. BORGERT: Right. Progesic is used
18 for, you know, all different kind of
19 psychiatric.

20 THE CHAIRPERSON: Migraines. We use it a
21 lot of them.

22 DR. BORGERT: So it is hard to them pin
23 down how many of them have --

24 DR. ZITTELLO: And they might be on it
25 for long term and it might not make any

1 difference and things like that.

2 DR. BORGERT: So maybe look at how many
3 of them we can actually find a diagnosis of
4 epilepsy on file for, or just look at what
5 the diagnosis is.

6 THE CHAIRPERSON: I'm not even sure if it
7 would make -- I mean, just look at what --
8 those are important things. I mean, we use
9 anticonvulsants. But I think it's -- I mean,
10 in terms of protecting the safety making sure
11 that the maximum daily dose doesn't exceed
12 the number that it's indicated for, package
13 inserting. I think that would be -- because
14 we have so many uses of anticonvulsants.

15 So bring back the data on the multiple
16 uses is one thing, but I think it's the
17 safety issue and poly -- I don't know if we
18 can do polypharmacy or a drug -- you know,
19 the paid for interactions, that's rejected at
20 the point of sale. We had an edit in there
21 at one point on that.

22 Any other ideas?

23 DR. ROMAY: Currently anticonvulsants are
24 on AutoPA, correct, so it looks back for a
25 diagnosis?

1 DR. BORGERT: That's correct. For the
2 branded products. The generics I think they
3 go through --

4 THE CHAIRPERSON: They're preferred.

5 DR. BORGERT: Yeah, they're preferred and
6 they go through the AutoPA.

7 DR. ROMAY: Because I mean I'm concerned
8 like topiramate, for example, that's being
9 used for obesity, for weight loss. So that
10 would be something to look at to see if those
11 people are truly have the right diagnosis
12 because we don't cover it.

13 THE CHAIRPERSON: Which drug was used --

14 DR. ROMAY: Topiramate, Topamax. Yeah,
15 it's also in combination with another -- with
16 phentermine as well. So, I mean, when it
17 comes in like that, I mean obviously it
18 doesn't get paid, but...

19 DR. BORGERT: I'm aware of that. So is
20 there an edit on the combination of
21 topiramate and phentermine?

22 DR. ROMAY: I'm not sure about that.

23 MS. ELLIOTT: Well, if the indication is
24 weight-loss, it's not --

25 DR. BORGERT: Well, I don't think it's an

1 FDA approved indication. But I don't think
2 we're looking for the -- I'm not aware if we
3 looked at the combo, but...

4 THE CHAIRPERSON: I don't think it's
5 mentioned on our preferred drug list.

6 DR. BORGERT: No, it's not. No, no, no.

7 THE CHAIRPERSON: But if they filled a
8 claim, you could look at that. But it
9 wouldn't have been filled --

10 DR. BORGERT: We wouldn't have.

11 THE CHAIRPERSON: -- so how can you do
12 it?

13 DR. BORGERT: Yeah.

14 THE CHAIRPERSON: And then looking at the
15 SSRIs, do we have maximum daily doses for
16 adults as well? You knows we have --

17 DR. BORGERT: Yes. Yes, we did maximum
18 daily doses of antidepressants for adults I
19 think within the past calendar year we might
20 have done that.

21 THE CHAIRPERSON: I think it was
22 children.

23 DR. BORGERT: We've had children for a
24 long time. But we did adults just this past
25 year, uh-huh.

1 THE CHAIRPERSON: All right.

2 DR. BORGERT: And then the other way to
3 look at the data is by total paid out. The
4 antihemophiliac factors are not going to be
5 an issue for the MCOs because those are
6 carved out to Fee-for-service. But, again,
7 you can see it kind of mirrors the number of
8 claims in terms of anticonvulsants,
9 antipsychotics. Insulins, which we're
10 actively working on. Orally inhaled
11 glucocorticoids. Attention deficit disorder
12 drugs, which we we've worked a lot on in this
13 Committee and has some new edits in place on
14 those.

15 THE CHAIRPERSON: We don't use them.
16 It's not approved for adults over -- Medicaid
17 doesn't cover it for adults over 18?

18 DR. BORGERT: No. We do. We do. We
19 just have -- but we did put in those maximum
20 daily dose limits on the adults for the ADHD
21 drugs. But we do cover it.

22 THE CHAIRPERSON: And then the last one
23 is antineoplastic systemic enzyme inhibitors.

24 DR. BORGERT: I think that's like Gleevec
25 type of thing in that -- for CNL.

1 THE CHAIRPERSON: We had an edit or a
2 safety --

3 DR. BORGERT: Yeah. We have it across
4 the board on all oral oncology -- anytime you
5 have a new oral oncology agent that comes on
6 -- I mean, they're not preferred, but we
7 still put quantity limits on them based on
8 the fact --

9 THE CHAIRPERSON: Yes.

10 DR. BORGERT: -- it's right when they hit
11 the market.

12 THE CHAIRPERSON: Yeah, we did last year
13 and it worked out fine.

14 DR. BORGERT: Yes. And we continually
15 update that. We had like seven new oral
16 oncology drugs in 2015, and we added all
17 those to that edit.

18 THE CHAIRPERSON: And I don't see in
19 terms of the HIV drugs in here.

20 DR. BORGERT: Yeah. Interestingly or
21 not. I guess it's the era of generics. I
22 don't know. More of the HIV drugs are
23 available generically. So from a total cost
24 standpoint, they're not --

25 DR. ROMAY: I'm curious to know about the

1 human growth factor, the human growth
2 hormones, did that make the list, because
3 that was -- I think that was -- last time it
4 was up for review.

5 DR. BORGERT: It was up for review
6 yesterday. No. When I'll pulled the data,
7 it didn't show up. But I'll go back and look
8 at where it falls.

9 DR. ROMAY: But I think that would
10 probably make the list because that's
11 their -- it's up for utilization.

12 THE CHAIRPERSON: Yeah. That had a prior
13 auth on that.

14 DR. ROMAY: Well, it has an AutoPA on it
15 just to check the diagnosis, but...

16 THE CHAIRPERSON: Right.

17 MS. ELLIOTT: Which --

18 DR. BORGERT: I'll pull it and I'll find
19 out where it falls in the scheme.

20 MS. ELLIOTT: Yeah. Just to follow-up in
21 P&T on the human growth hormone, it was voted
22 to keep Genotropin and Saizen as preferred,
23 but it's going to be a ClinicalPA for all.

24 DR. ROMAY: Okay.

25 MS. ELLIOTT: And -- are you going to

1 bring that up?

2 DR. BORGERT: Yeah. You're right. I
3 skipped that. I somehow missed that on P&T
4 review. They did -- P&T did request that DUR
5 look specifically at idiopathic short stature
6 syndrome. And it's really -- it's kind of
7 going to be difficult because one of the
8 preferred products has an FDA approved
9 indication for that. And we're pretty much
10 mandated to cover FDA approved indications,
11 so if that's going to be a preferred product.

12 And the point that was made at P&T is
13 well there are other drugs that are preferred
14 and had an FDA approved indication that --
15 so, for instance, they mentioned, you know,
16 like the PAH drugs, but we don't cover them
17 for erectile dysfunction.

18 So they were looking at -- you know, the
19 question to the DUR -- that they wanted to
20 bring to the DUR Board was, you know, is that
21 -- is idiopathic short stature, does it fall
22 sort of into that box? And, you know, it's
23 sort of an Agency decision as well.

24 But, you know, I don't know, it's gray.
25 I'm not even sure how we'll tackle it, but

1 I'm certainly open to thoughts and ideas of
2 the DUR Board surrounding that issue.

3 DR. ROMAY: I mean, I think personally --
4 not personally. I think from a standpoint
5 of, you know, making sure that that person
6 has proper -- you know, initial work up for a
7 growth hormone to see to make sure that they
8 really do meet -- because a lot of little
9 patients we have a short stature obviously
10 fail their stimulation test.

11 I mean, they way are over the 10 mark.
12 So we need to look to see to make sure that
13 they didn't get an adequate follow up.
14 Because I know for a fact a lot of members
15 are just seeking these drugs for -- you know,
16 because they want to be at a particular
17 parental or maternal height. And obviously
18 if that's not there genetically, really it's
19 hard to kind of base the need for that member
20 to use that drug.

21 So we just want to make sure that those
22 people are adequately being -- they truly
23 need the drug and they have -- and the
24 idiopathic short stature that's something, as
25 you say, is very gray. Because, you know,

1 you don't want to not give them therapy, but
2 you also want to make sure what truly is
3 happening. Is the IDF level, you know,
4 really low? Is it really showing that
5 they're are at -- you know, division at IDF.

6 DR. BORGERT: So what you're saying is
7 that perhaps with putting a ClinicalPA on the
8 class and really looking -- you know, having,
9 you know, a pharmacist review those tests and
10 that sort of thing that maybe will sort of
11 take care of the problem. At least somewhat
12 address the issue.

13 DR. MARTORANA: Right. Because we review
14 a lot -- to Dr. Romay's comment that you get
15 these requests and they come in. And
16 genetically, you know, mom's 4'11", dad's
17 5'1", and their mid parental height is
18 5-foot, and they're on track to be 5'2", and
19 all of a sudden you're getting a request for
20 the growth hormone. You know, what are you
21 trying to do? You know, it's obvious that
22 the kid is genetically predisposed and
23 unfortunately he's got short parents.

24 DR. ROMAY: And a lot of times they're
25 growth velocity is going to be right there.

1 It's, you know, on track to get them where
2 they're going to be. So even looking at
3 their growth velocity and looking at their
4 bone age to see to make sure that they really
5 truly two standard deviations below the norm.

6 DR. BORGERT: Well, I think then it
7 sounds to me like maybe what we should do
8 here at the DUR Board is, we will bring back
9 to you all the ClinicalPA data -- the
10 specifics of the ClinicalPA that get
11 designed. And then we will do a pre and
12 post. And we'll look at the diagnosis mix,
13 and we'll also just look at overall
14 utilization and we'll kind of see what impact
15 that had on it. So I think that's probably
16 the way the DUR Board should go at this
17 point.

18 MS. ELLIOTT: Yes. And this has been a
19 topic on every single meeting that we've had
20 with the plans, is the tightening of the
21 criteria for the human growth hormones,
22 specifically ISS. So we -- I told them
23 yesterday that we're going to bring it to the
24 Board, we're going to look at parameters so
25 it's not going to be open access like it is

1 right now, or at least that's the goal.

2 DR. BORGERT: So we should have those
3 parameters developed by the April meeting of
4 the DUR Board and we'll bring that back for
5 the DUR Board to review and comment on.

6 THE CHAIRPERSON: Good.

7 DR. BORGERT: Any other comments about
8 the topic of classes?

9 THE CHAIRPERSON: I think we streamlined
10 everything.

11 DR. BORGERT: We're going to revisit
12 growth hormone. Okay.

13 Another question that was asked the last
14 time was about the PCSK-9 inhibitors,
15 Praluent and Repatha. So my hyperlink is not
16 working. You know why? Because I'm not on
17 the Internet.

18 THE CHAIRPERSON: The package insert has
19 parameters in place for most of these drugs.

20 DR. BORGERT: Right, right. So in -- to
21 cut to the chase, we really have had very
22 little uptake with these medications I think
23 is really what the Committee was interested
24 in asking.

25 We've had zero inquiries regarding

1 Praluent. And we have had, you know, a total
2 of seven inquiries regarding Repatha. None
3 of which -- none were approve and two were
4 denied. So I don't know if the MCOs are
5 seeing some of sort of the same thing, but
6 that's what we're seeing in Fee-for-service
7 is little uptake.

8 DR. ROMAY: I just want to go back. I
9 don't know if we can go back, but looking to
10 the oral oncology I mentioned that these are
11 up and coming -- the updates?

12 DR. BORGERT: Correct.

13 DR. ROMAY: Are you looking to update
14 also continuation of therapy criteria?
15 Because there are, you know, some parameters
16 that we do feel that the members should be
17 hitting to make sure that the drug is really
18 actually working, that there's no progression
19 of disease. So looking at markers -- not
20 really markers, but looking at, you know PD-1
21 analysis for different types of drugs,
22 especially for the Opdivo and things like
23 that. I know Opdivo is a medical benefit,
24 but there's other drugs out there as well
25 that have -- you know, looking at those

1 particular parameters to make sure that those
2 drugs are actually really working?

3 DR. BORGERT: I'm trying to think of an
4 example, because actually I'm board certified
5 in oncology, so that's kind of my wheelhouse.
6 I'm trying to think of an example of --

7 DR. ROMAY: Like for instance it's the --
8 like the Revlimids and things like that that
9 you're looking for particular, you know,
10 molecular remission.

11 DR. BORGERT: Right, right, right. Yeah.
12 I think we do have that for the most part in
13 our continuation of therapy criteria. Again,
14 like I said, we do get a list of all FDA
15 changes every week. So if there is some
16 change in, you know, the recommendation, we
17 will -- we do update criteria and address
18 that.

19 I have to go back and look at it across
20 the board. But off the top of my head I
21 can't really think -- I mean, a lot of the
22 drugs are -- you know, you look at the
23 biomarker up front before you put them on.
24 You know, whatever, there's several of them.
25 You know, whether it's a EGFR or -- all the

1 TPIs basically that you look for that.

2 And, you know, now there's several new --
3 like if you failed Revlimid and now there's
4 two other alternatives. So, you know,
5 looking for that factor up front. But in
6 terms of continuation of therapy --

7 DR. ROMAY: So it we would be running
8 kind of restaging to make sure that there's a
9 continuous progression up towards becoming,
10 you know, in remission or destroying some
11 kind of -- because we don't want to -- these
12 drugs are very expensive to be put on. And
13 at lot of times if there's resistance,
14 members don't respond and they have to
15 changed to another agent.

16 So where do you kind of make sure that
17 the patient is really truly on continuation?
18 Because the least we want to do is not
19 approve it, obviously we want to make sure
20 that that person gets clinically -- you know,
21 clinically stable.

22 THE CHAIRPERSON: So the prior
23 authorization, is that done annually with the
24 submission of data by the oncologist or --

25 DR. BORGERT: Typically the length of

1 approval varies between three and six months
2 for oral oncology agents and then they have
3 to resubmit for a --

4 DR. ROMAY: And that's how we do it. We
5 do it on a three to six month basis just to
6 make sure that there is that response.

7 DR. BORGERT: Right.

8 DR. ROMAY: But where there's really no
9 continuation of therapy criteria to go by and
10 we're just looking on submission, there's
11 really -- some of them do, but not all of
12 them do.

13 DR. BORGERT: Right, right. You know, I
14 think maybe the other maybe catch 22 you
15 could run into there is, patients who are
16 terminal or, you know, have an incurable
17 disease a lot of times they will continue on
18 therapy just until they have clinical
19 progression.

20 You know, they're not scanning these
21 patients all the time, they're not doing all
22 that sort of stuff because -- I mean, what's
23 the point, really. There's nothing more to
24 offer them and they have an incurable
25 disease, so they're basically just going to

1 continue on the drug until, you know, it's
2 obvious that they have clinical symptoms of
3 progression, so... I don't know.

4 But I can go back and look at that and
5 see if there's anything that we need to
6 tighten up there. And maybe we can talk up
7 on some other specific examples --

8 DR. ROMAY: Sure.

9 DR. BORGERT: -- that we can take a look
10 at.

11 DR. ROMAY: Sure.

12 THE CHAIRPERSON: Very good. And as far
13 as the question for -- on this request for
14 the PCSK-9 inhibitors, we don't have a prior
15 auth -- I mean, it says here "prior auth
16 request." I mean --

17 DR. BORGERT: We have prior auth criteria
18 established. And I'm sorry, I forgot to get
19 online so that my hyperlinks would work. I
20 had a hyperlink to the ACHA website, but I
21 forgot that I needed to get online to access
22 that. So I'm sorry about that.

23 DR. MARTORANA: That is probably one we
24 want to bring in. I mean, there's care
25 numbers on requests, denials, and approvals

1 and we are starting to see some pressure from
2 that.

3 DR. BORGERT: And, you know, basically
4 the same type of thing with CF therapies
5 which the Committee asked about, both which
6 is Kalydeco and Orkambi. And, again, my
7 hyperlinks, I'm sorry. I was going to show
8 you the specific criteria.

9 But for those of you who aren't familiar
10 with these medications, these are CF patients
11 who have a specific mutation that has to be
12 identified in order to qualify to receive
13 these therapies. Like I know with Orkambi
14 it's the 508 -- they have to have homozygous
15 F508 for a patient or something like that.

16 So they have very specific criteria in
17 terms of which CF patients and the mutations
18 that they have that would respond to these
19 drugs. So that's the basis of the criteria
20 is making sure that these --

21 THE CHAIRPERSON: Or, yeah, the failure
22 at regular statins with the combination.

23 DR. BORGERT: For the PCSK-9s, right,
24 that's part of the FDA labeling is that they
25 have -- they're on maximally tolerated statin

1 therapy.

2 THE CHAIRPERSON: Along with bococizumab
3 as well.

4 DR. BORGERT: I think bococizumab is in
5 our criteria for --

6 THE CHAIRPERSON: And that's on our
7 preferred drug list as well?

8 DR. BORGERT: Yes, yes.

9 DR. CHAIRPERSON: So that would satisfy
10 that.

11 DR. BORGERT: With the CF meds, we are
12 having more uptake with those. We have
13 approved five Orkambi requests and six
14 Kalydeco requests in calendar year 2015, and
15 that's just what we saw in Fee-for-service.
16 So, again, that might be something that the
17 MCOs want look at their utilization and see
18 if it mirrors what we're seeing in -- we did
19 not deny any requests for those two drugs.
20 So it seems like when they're requesting it,
21 it's appropriate. You know, they're
22 requesting it for the appropriate patients.

23 We have had some discussions with outside
24 groups regarding a few nuances to the
25 criteria that we're evaluating changing, but

1 for right now we haven't denied anybody based
2 on our current criteria. So it would be hard
3 to make the argument that our criteria are
4 too restrictive.

5 DR. ROMAY: Can I just go back to -- I
6 know you mentioned at the beginning of our
7 meeting today that Tobi was now -- it's now
8 PDL, so there's no criteria to follow in
9 terms of having a sputum culture or anything
10 of that nature?

11 DR. BORGERT: We do have, don't we?

12 MS. ELLIOTT: AutoPA.

13 DR. BORGERT: Oh, AutoPA. It's going to
14 be AutoPA.

15 DR. ROMAY: It's going to AutoPA. So
16 it's not going to require any.

17 DR. BORGERT: Right. All right. That's
18 all of the information that was requested for
19 this quarter.

20 So just moving on to proposed topics for
21 next quarter. I'll talk about what I've
22 heard and then we'll open it up for the
23 Committee members to make suggestions.

24 What I heard last time was talking about,
25 you know, looking into some of the asthma

1 quality measures. Having this Board look
2 into some of the asthma quality measure. You
3 know, kind of a springboard conversation from
4 the Pulmicort conversation.

5 And so looking a little bit into what
6 those quality measures are, percent days
7 covered for inhaled corticosteroids in
8 patients with a diagnosis with persistent
9 asthma. Percent based covered for long-
10 acting beta agonists in patients with a
11 diagnosis of persistent asthma. Those were
12 two quality measures that are recommended for
13 asthma. Another -- and then those obviously
14 are evaluating adherence.

15 And then an asthma -- something called an
16 asthma medication ratio, is the recommended
17 asthma quality measure. And that is the
18 percentage of patients with persistent asthma
19 who had a ratio of controller meds to total
20 asthma meds of greater than 0.5 or greater.

21 So, you know, basically that these
22 patients aren't just being treated with
23 short-acting beta agonists symptomatically.
24 That they're on underlying therapy, you know,
25 corticosteroids, first line, to manage it if

1 they have a diagnosis of persistent asthma.

2 And one of the nice things about the
3 ICD-10s it does break out asthma by mild,
4 moderate, and severe, persistent -- and it
5 specifically talks about persistent asthma.
6 So we can hone in on those patients that have
7 persistent asthma as opposed to exercise
8 induced asthma or things like that. So I
9 think we can identify that population and
10 look at one or all of those quality measures
11 surrounding asthma if anybody is interested
12 in doing that.

13 THE CHAIRPERSON: Well, the other thing
14 when you look at this data sometimes the
15 leukotriene inhibitors are also used to
16 measure inhaled corticosteroids. So I think
17 I would add that drug in there because a lot
18 of -- some patients can't coordinate and some
19 of them are intolerant due to thrush or side
20 effects, you know.

21 DR. BORGERT: Right, right.

22 THE CHAIRPERSON: Some of the things that
23 we see --

24 DR. BORGERT: Right.

25 THE CHAIRPERSON: -- that may or may not

1 be on there.

2 DR. BORGERT: And since we're going to
3 narrow it down to the persistent asthma
4 population, I think we can do that. I mean,
5 in the past we've struggled a little bit with
6 that because so many people are on it for
7 seasonal rhinitis or that sort of thing with
8 Singular. But we're going to just
9 specifically look at the persistent asthma
10 patients. So, yeah, we'll look at the
11 leukotriene anti-agonists.

12 DR. ZITTELLO: Based on the quality
13 measures it's a controller medication it's
14 considered so we do need to look at that.

15 DR. BORGERT: Okay.

16 DR. OLSON: And I think we asked last
17 time, can we tie this into ER visits or
18 hospital admission data?

19 DR. BORGERT: I will do my best.

20 THE CHAIRPERSON: Admissions or visits
21 period? Because I think there's more visits.

22 DR. OLSON: Well, I think tied into ER --
23 yeah, well --

24 THE CHAIRPERSON: ER visits.

25 DR. OLSON: Yeah.

1 DR. BORGERT: Well, with hospital
2 admissions we do have the ability usually to
3 get an admitting diagnosis. So, you know, we
4 can look at hospital admissions specifically
5 with an admitting diagnosis of asthma
6 exacerbation or whatever the terminology is.
7 So we can do that.

8 DR. OLSON: That would be great, yeah.

9 DR. BORGERT: We can do that.

10 THE CHAIRPERSON: Any other proposed
11 topic? We have anticonvulsants, we talked
12 about that.

13 DR. BORGERT: Right, right.

14 DR. ROMAY: I wanted to bring also, I
15 know we've talked about this a lot in the
16 past, the antipsychotics. We continuously
17 see a huge epidemic of multi-pharmacy on
18 those agents. We see people on three
19 atypicals, which is very frightening to see
20 that going on.

21 And it's really -- it's a hard sell when
22 you reach out to that physician and try to,
23 you know, kind of understand what the therapy
24 is. And it's just very hard to, you know,
25 break down that door and try to get them back

1 down to at least a single agent.

2 So there's a lot of mishandling of those.

3 So I just want to see if maybe we can hone in

4 on that a little bit more details on that.

5 THE CHAIRPERSON: I thought we had an

6 edit in place for that already.

7 DR. BORGERT: We do. I'm trying remember

8 what the antipsychotic polypharmacy edit is.

9 DR. ROMAY: Yeah, it is. But I just

10 continue to see requests come through for

11 that, for PA. And so --

12 DR. BORGERT: Yeah. Susan, I think

13 that's -- I agree, I think that's the issue.

14 I think the edit hasn't actually been -- it's

15 Fee-for-service, yet it hasn't actually been

16 programmed or implemented now. MCOs were --

17 DR. ROMAY: We have. We have in our

18 local plan and we started seeing a huge

19 influx, you know, of those members on two or

20 more.

21 DR. BORGERT: So how does your edit work?

22 Does it stop -- you know --

23 DR. ROMAY: It will message out. You

24 know, but still we're seeing these physicians

25 adamant about having them on three. So it's

1 hard to kind of -- from an educational
2 standpoint to get them to --

3 THE CHAIRPERSON: And what's the
4 justification on the prior auth? What are
5 they writing on there?

6 DR. ROMAY: They're member is stable and
7 they understand that the member been there --
8 you know, haven't been to the hospital,
9 there's no decompensation. So it's kind of
10 hard. But I think it's very dangerous to
11 have a member on three of those.

12 THE CHAIRPERSON: Are they maxed out
13 doses?

14 DR. ROMAY: Yeah, pretty much. I mean,
15 we have a psychiatrist that we run them by,
16 but still it's just very hard to kind of
17 address that behavior.

18 DR. BORGERT: You know, in the pediatric
19 population obviously we have USF on board
20 that helps us with that, but with the adult
21 population we don't have that luxury.

22 DR. ROMAY: Yeah. Inappropriate use too
23 they use the Seroquel Extended -- Immediate
24 Release for sleep which really doesn't have
25 that indication. So that's another one they

1 add in there as kind of an adjunct to --

2 THE CHAIRPERSON: We had looked at that a
3 few years back.

4 DR. BORGERT: Okay. Any other
5 suggestions. Dr. Allen?

6 DR. ALLEN: Sure. I just wanted to say
7 thank you this morning for running a very
8 thorough and efficient meeting here. I think
9 we're getting out on record time.

10 I just wanted to ask if we could take a
11 look at Prevacid Solu Tab? After I'm looking
12 at the data, the Q3 data. I noticed that
13 the -- certainly from an economic
14 perspective, the cost of Prevacid Solu Tab
15 actually, you know, is more than Prevacid, or
16 omeprazole, pantoprazole combined. And I
17 think we're at about 2500 claims for Q3.

18 And I guess my question would be, are
19 there that -- certainly with this Medicaid
20 population there's going to be a lot of kids.
21 But are there that many patients that
22 actually need the solu tab? So for
23 Zollinger-Ellison Syndrome, I mean, right,
24 there is a -- I don't think there's a closed
25 window on it. But the FDA indicates and

1 generally supports 8 to 12 weeks and then it
2 can be stopped.

3 So I mean, after a review of the data
4 perhaps there's an opportunity to implement
5 an edit to stop it.

6 DR. BORGERT: We can look at the age
7 group. We have an age limit, I think. But
8 we can look at age group. And also if we
9 kind find out if the have a G-tube or
10 something like that. Because I think in that
11 population that drug gets used in that
12 population too. But we can try to look into
13 that and just look at what the utilization
14 picture looks like.

15 DR. ALLEN: And second in here is
16 Bromfed DM. It certainly it doesn't have the
17 same economic impact of Prevacid Solu Tabs,
18 but I noticed that there's -- obviously
19 there's a generic for the Bromfed DM cough
20 syrup, and we had about -- close to about 200
21 claims in Q3 for the brand. So I can't
22 imagine why 200 people would have to use the
23 brand, but I might be missing something.

24 MS. ELLIOTT: I'm sorry, Moses, what was
25 the drug?

1 DR. ALLEN: Bromfed DM, B-r-o-m-f-e-d.

2 DR. BORGERT: That wasn't one of the ones
3 they had the recall on recently, was it?

4 DR. ALLEN: It might be.

5 DR. BORGERT: There was a recall on cough
6 syrups, so...

7 DR. ROMAY: Was it probably Cardec DM?

8 DR. BORGERT: I'm sorry?

9 DR. ROMAY: Maybe the Cardec DM?

10 DR. BORGERT: It was on the
11 manufacturers. I think it had to do with the
12 unit dose cups not being accurate so that's
13 they were worried about that.

14 DR. ALLEN: Because the Q3 compares as to
15 generic from a utilization standpoint is at
16 29.28, and the brand is at 1.87 or something.
17 So it's not going to break the bank or
18 anything, but just something to keep an eye
19 on.

20 And, lastly, from me I promise.

21 DR. BORGERT: That's fine. It's good to
22 have input.

23 DR. ALLEN: Just from a OTC standpoint,
24 so just right practically all over the
25 non-sedating antihistamines are now on the

1 PDL, the desloratadine, the loratadine,
2 et cetera. And I was just asking, is that
3 the same approach with the Board or should
4 the Board consider it the same approach with
5 PPIs, of course Nexium and Prevacid and
6 whatever else is over-the-counter now? And I
7 just want know if there was ever an
8 opportunity to do an economical comparison to
9 see if it may be beneficial to have those
10 products on the OTC?

11 DR. BORGERT: You know, I think we can
12 take that back to provider Synergis and ask
13 them that question and bring you back what we
14 find out.

15 THE CHAIRPERSON: That's a recent update
16 to the formulary because that wasn't on there
17 a while ago. It was taken off and back on in
18 terms of the Prevacid.

19 DR. OLSON: You know, tying into that, I
20 know last meeting talked about even the
21 acetaminophen over-the-counter and those
22 products as well. Did we ever -- did that
23 go anywhere?

24 DR. BORGERT: Remind me.

25 DR. OLSON: We talked about the Medicaid

1 approving pain for acetaminophen, or pain for
2 ibuprofen.

3 DR. BORGERT: Oh, yes. I'm glad you
4 brought that up. Yes, thank you. Yes, we
5 did do that. For children under six. There
6 are now acetaminophen products available on
7 the PDL for children under six, specifically
8 for -- to address the codeine issue. The
9 removal of codeine approval in children under
10 the age of six, there's now acetaminophen
11 available and ibuprofen as well.

12 DR. OLSON: Still with a prescription
13 under six they can get it?

14 DR. BORGERT: Yes, yes, yes.

15 DR. OLSON: Was that --

16 THE CHAIRPERSON: Do you remember when
17 that went in, Arlene?

18 DR. OLSON: -- communicated out?

19 THE CHAIRPERSON: I got the letter a
20 month ago, right.

21 DR. BORGERT: Yeah.

22 DR. OLSON: Did you?

23 DR. BORGERT: Yeah.

24 DR. OLSON: The providers got a letter on
25 that one?

1 DR. BORGERT: Yeah. Well, it surrounded
2 the whole coding thing and in there it
3 mentioned the fact that -- it was actually a
4 banner message. It was a banner message, not
5 a provider letter. I misspoke.

6 But if you go and look up on the banner
7 messages, you'll see it. And as part of that
8 whole coding thing they have availability of
9 acetaminophen for children under the age of
10 six.

11 Just to follow-up on that, I don't know
12 if anybody saw that the FDA Pulmonary
13 Advisory Committee recently refuted it. And
14 I think where it's going is, I think they're
15 going to restrict it in anybody under 18, but
16 we'll see. I mean, they really -- they
17 recommended really, really narrow. So I
18 guess we're a little bit ahead of the curve
19 in terms of --

20 THE CHAIRPERSON: I actually looked at --
21 when you put it in the -- I actually looked
22 it up and I'm like "what?"

23 DR. BORGERT: Yeah. So --

24 THE CHAIRPERSON: Very good. Any other
25 input or any other --

1 DR. ROMAY: I wanted to just mention
2 opioid antagonist the naloxones and that type
3 of drugs. I just wanted to know if there's
4 -- I know we want to, you know, have
5 access -- our members have access to that
6 drug, but right now currently it has a
7 ClinicalPA but it doesn't really -- the
8 criteria doesn't really allude to any kind of
9 like what's required from the prescriber in
10 terms of how the drug screen should be
11 reported.

12 Right now a lot of times we have
13 self-reporting from the doctors, and it's
14 really kind of hard sometimes to really
15 truly, you know, interpret whether it's
16 really coming from the doctor's office or
17 it's just -- because it's kind of like,
18 "yes," "no," you know, the check off here or
19 the check off there. And we find out over
20 the course of time that sometimes those
21 patients come back positive for, you know,
22 either ecstasy or heroin.

23 So it kind of leads me to believe that a
24 lot of times I don't know how true those
25 results are coming from the physician. So I

1 don't know if we can mandate some kind of
2 like -- it's okay to do a random drug screen,
3 obviously, if they come in and you want to do
4 a random drug screen in the office. But
5 really they should be utilizing an outside
6 lab to actually really do the analysis.

7 DR. BORGERT: And you want to see the
8 drug screen as part of their continuation for
9 therapy.

10 DR. ROMAY: Exactly. Right. Versus it
11 just being a checkmark on bupropion or -- you
12 know. Because a lot of times we get requests
13 back that the members even negative to
14 bupropion. So what are they doing? Are they
15 selling it -- you know, that's a red flag for
16 us. I mean, obviously those get denied, but
17 truly to get an accurate result from a lab
18 versus just a regular "yes/no" checkmark grid
19 would be maybe something that we may want to
20 consider just to substantiate the
21 justification for the PA.

22 MS. ELLIOTT: So you're saying follow the
23 condition with -- the checkmark with
24 documentation?

25 DR. ROMAY: Right. Or actually have the

1 actual lab either from LabCorp or from --
2 showing the actual drug screen showing that
3 they're negative for all these things.

4 DR. WILLIAMS: With them Fee-for-service
5 they already look at those labs.

6 DR. ROMAY: Yeah, but I don't know for --
7 I don't know for -- when we see RPAs we get a
8 lot of physicians just sending in that little
9 grid that just says -- they check off "yes"
10 on or "no" positive or negative. But it's
11 not truly an actual lab analysis report
12 coming back from either LabCorp or, you know,
13 from Quest.

14 DR. WILLIAMS: That's a requirement with
15 them Fee-for-service. If they don't have
16 that lab attached, they'll ask for it.
17 They'll send it back and say send us the
18 labs.

19 DR. ROMAY: Right. But I don't think
20 it's defined on the actual forms that we have
21 now that it actually has to truly be from a
22 lab.

23 DR. BORGERT: Okay. I will -- Susan,
24 I'll delve into exactly the procedure for
25 Fee-for-service and maybe look into updating

1 that PA form to reflect that requirement.

2 DR. ROMAY: Great. Thank you.

3 DR. MARTORANA: But that could just be a
4 qualitative -- quantitative lab result.

5 DR. BORGERT: Right.

6 DR. WILLIAMS: On the PA form under the
7 requirements it says that they have to send
8 in the random drug screens. It's listed.

9 DR. ROMAY: As well?

10 DR. WILLIAMS: Yeah. It's under the
11 prescriber's signature.

12 DR. ROMAY: Right. But we get those
13 random drug screens but we actually don't get
14 the -- they should be supplementing with an
15 actual -- they should be sending out the drug
16 screen out.

17 DR. WILLIAMS: So you want to request --

18 DR. ROMAY: I just want to --

19 DR. WILLIAMS: -- the labs, not --

20 DR. ROMAY: Right. Just to make sure
21 that it's, you know, an adequate result
22 versus an in office.

23 MS. ELLIOTT: Do you have a
24 recommendation of how often you would like to
25 see the random test?

1 DR. ROMAY: I would -- I mean, random I
2 guess is something as it is random. So I
3 don't know if you want to do it -- I think it
4 depends on how that member has been in the
5 past. If they have a history or relapse, I
6 would do it more often, and so maybe every
7 three months. I don't know.

8 DR. BORGERT: We can look into that. Any
9 other requests, suggestions? I think it's a
10 good idea like you guys were talking about
11 where possible to follow up with, you know,
12 how much the MCO data looks like the
13 Fee-for-service data and that's something
14 that we can consider here.

15 DR. MARTORANA: Just I guess the last
16 time, the anticonvulsants we'll be bringing
17 that back when we have the individual
18 products, so are at least the top 5 or 10 in
19 that class?

20 DR. BORGERT: Okay. We can do that.

21 DR. ROMAY: Just to add, in terms of like
22 I know we mentioned having the MCOs bring
23 back utilization data. Are we going to get
24 like some kind of follow-up e-mail stating
25 what exactly is required of us so we can

1 bring it to the next meeting?

2 MS. ELLIOTT: No. We're going to run
3 that utilization. If we get in a bind, then
4 we'll contact you.

5 DR. ROMAY: Okay got you. Thank you.

6 THE CHAIRPERSON: Okay. Does that close
7 that topic? Any other suggestions? Very
8 good.

9 Then next on our agenda is -- we had open
10 discussion.

11 And next would be public comment. Do we
12 have anyone from the audience that wishes to
13 comment? No. Very well.

14 Vern our next meeting?

15 MR. HAMILTON: Wow. You all have really
16 moved fast this morning. It's hard for me to
17 keep up. I'm still asleep. You will note --
18 and I have already sent to all of you -- the
19 schedule for the remainder of the year. But
20 I think I put on the list that -- to be
21 announced on the location you will notice
22 that we are moving these meetings to the
23 Tampa Hilton over on Lois Avenue, and that's
24 where we will be meeting for the April, June,
25 and September, I have contracts in place for

1 the Hilton. And we're looking forward to
2 that. They have been very accommodating to
3 our meetings. And I think the facilities
4 will be very nice there. If you're not
5 familiar with that location, it's not
6 terribly far from here.

7 THE CHAIRPERSON: Just for the record,
8 the June meeting date is --

9 MR. HAMILTON: The weekend of -- is it
10 June 17th and 18th? I believe that's
11 correct. The 17th is a Friday for P&T and
12 the 18th is a Saturday for DUR.

13 THE CHAIRPERSON: And September's meeting
14 date?

15 MR. HAMILTON: The last weekend of that
16 month. Is that the 25th and 26th? No?

17 MS. SMITH: It's the 23rd and 24th.

18 MR. HAMILTON: 23rd and 24th.

19 MS. SMITH: The last day of the month is
20 Friday the 30th.

21 MR. HAMILTON: So September 24th is a
22 Saturday.

23 MS. SMITH: Yes.

24 MR. HAMILTON: But I sent all that to you
25 in the e-mail previously.

1 THE CHAIRPERSON: Very good.

2 MR. HAMILTON: Because you all are such
3 busy professionals, and we have not done it
4 this way in the past, I'm going to be working
5 to try to secure for a whole calendar year at
6 a time. We've not done that traditionally
7 here before, but it's getting more and more
8 difficult to secure a location and the dates
9 that we need for these meetings here in
10 Tampa. And it helps you, I believe, in
11 planning your calendar for these meetings.
12 So hopefully by around late August, early
13 September I will try to secure the dates for
14 2017.

15 THE CHAIRPERSON: Very good. Thank you.

16 MR. HAMILTON: Any questions? I think
17 you noticed I passed out a new travel form.
18 Christine Freeman is no longer in our unit
19 and is not processing those, so we're moving
20 to a new form that the bureau prefers. And
21 it's just a guideline for sending those.
22 You'll still use me to send those in and I
23 will give them to another person now who will
24 be processing them. I'm sorry I don't
25 process your travel. But any questions that

1 come up, please send me an e-mail and I will
2 follow up. I don't follow them unless I hear
3 from you.

4 So I'm sorry about that, but I will be as
5 communicative as possible in the processing
6 of those.

7 THE CHAIRPERSON: Thank you, Vern. Thank
8 you, Rebecca. And thank you everyone for
9 serving and taking the time out of you busy
10 Saturdays. I'd like to make a motion to
11 adjourn.

12 DR. ALLEN: Second.

13 DR. MARTORANA: Second.

14 THE CHAIRPERSON: Very good. Thank you.

15 (WHEREUPON, the DUR Board Meeting
16 adjourned at 10:55 a.m.)

17

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24


CERTIFICATE OF REPORTER

STATE OF FLORIDA :
COUNTY OF HILLSBOROUGH :

I, Jacqueline L. Reichert, Court Reporter,
Notary Public in and for the State of Florida

DO HEREBY CERTIFY that I was present at the
foregoing proceedings at the time and place set forth
in the caption thereof; that I was authorized to and
did stenographically report the foregoing
proceedings; and that the foregoing pages constitute
a true and complete computer-aided transcript of my
original stenographic notes to the best of my
knowledge, skill and ability.

IN WITNESS WHEREOF, I have hereunto set my hand
at Tampa, Hillsborough County, Florida this 26th day
of January 2016.



JACQUELINE L. REICHERT
Notary Public, State of Florida
Commission No. EE 160968
Expires 3/27/2016



INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130